

MEETING  
OF THE  
SCIENTIFIC REVIEW PANEL ON TOXIC AIR CONTAMINANTS  
CALIFORNIA AIR RESOURCES BOARD

SOUTH SAN FRANCISCO CONFERENCE CENTER  
255 SOUTH AIRPORT BOULEVARD  
SOUTH SAN FRANCISCO, CALIFORNIA

MONDAY, MAY 14, 2001

9:00 A.M.

JAMES F. PETERS, CSR, RPR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

MEMBERS PRESENT

Dr. John Froines, Chairperson  
Dr. Paul D. Blanc  
Dr. Gary Friedman  
Dr. Anthony Fucaloro  
Dr. Stanton Glantz  
Dr. Hanspeter Witschi

REPRESENTING THE CALIFORNIA AIR RESOURCES BOARD

Mr. Jim Behrmann  
Mr. Peter Mathews

REPRESENTING THE OFFICE OF ENVIRONMENTAL HAZARD ASSESSMENT

Dr. George V. Alexeef, Deputy Director for Scientific Affairs  
Ms. Colleen Heck, Chief Counsel  
Dr. Michael Lipsett, MD, Air Pollution Epidemiology Unit  
Dr. Melanie Marty, Chief, Air Toxicology and Epidemiology Section  
Dr. Mark Miller, MD, MPH, Air Toxicology and Risk Assessment Unit  
Dr. David Morry, Air Pollution Epidemiology Unit  
Dr. Bart Ostro, Chief, Air Pollution Epidemiology Unit  
Dr. Andy Salmon, Chief, Air Toxicology and Risk Assessment Unit

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

INDEX

PAGE

AGENDA ITEMS

1. Continuation of the review of the draft report: "Prioritization of Toxic Air Contaminants Under the Children's Environmental Health Protection Act," March 2001	1
Adjournment	216
Reporter's Certificate	217

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

## PROCEEDINGS

1

2 CHAIRPERSON FROINES: So we are missing, as  
3 everyone can see, two members of the panel who are  
4 anticipated.

5 But I think since it's 9:15, we should go ahead,  
6 so we will officially call the meeting to order for May  
7 14th, 2001. And we will continue the discussion of the SB  
8 25 listing of the Priority Top 5 substances. So, Melanie,  
9 I think you're on the lead.

10 SUPERVISING TOXICOLOGIST MARTY: Okay. What I  
11 wanted to do to begin with was to go back to some of the  
12 issues that the panel asked us to come back with more  
13 information on, including changes to the introduction of  
14 the document, which we made and sent to the panel last  
15 week.

16 (Thereupon an overhead presentation was  
17 presented as follows.)

18 SUPERVISING TOXICOLOGIST MARTY: So I just have  
19 about nine slides, going over the changes made to the  
20 intro. We have more examples of information that we put  
21 together that is related to the prioritization process.  
22 We have a comparison of formaldehyde and acrolein which  
23 the panel asked us to bring more of the guinea-pig data  
24 forward, so we have a few slides on that. And then there  
25 was an issue about exposures to mercury in lead, so we

1 have a little more exposure information on those two  
2 compounds.

3 --o0o--

4 SUPERVISING TOXICOLOGIST MARTY: In terms of the  
5 introduction, which is basically Section 2 of the  
6 document, we added text on our prioritization process to  
7 clearly indicate that the selection of the 35 or 36,  
8 depending on how you count them, TACs for focused  
9 literature review was based not only on the quantitative  
10 ranking, we did based on reference exposure levels or unit  
11 risk factors in air concentrations, but also on other  
12 evidence of the exposure including the hotspots stationary  
13 source emissions database, and also importantly the nature  
14 of the toxic effects.

15 We had certain end points, toxicological  
16 endpoints, that we considered a flag for concern,  
17 including neurotoxicity, immunotoxicity, endocrine  
18 toxicity, impacts on the respiratory system and  
19 developmental toxicity. So those chemicals -- if there  
20 was evidence that chemicals induced those particular end  
21 points, then we had a little more concern for those than  
22 for some of the others.

23 --o0o--

24 SUPERVISING TOXICOLOGIST MARTY: We also added in  
25 additional explanation of the source of the ambient air

1 data. Dr. Atkinson asked us to do that.

2 CHAIRPERSON FROINES: Let me interrupt you just  
3 for a second. This new table this is the new table that  
4 you just referred to, am I correct?

5 SUPERVISING TOXICOLOGIST MARTY: It's one of the  
6 tables, yes.

7 CHAIRPERSON FROINES: That you just referred to?

8 SUPERVISING TOXICOLOGIST MARTY: That I just  
9 referred to.

10 CHAIRPERSON FROINES: So why don't you go ahead  
11 and then we'll come back to it because I know that Dr.  
12 Blanc had some comments about the evidentiary bases for  
13 some of the compounds in here. So why don't we go through  
14 the presentation and then come back to that issue.

15 SUPERVISING TOXICOLOGIST MARTY: Okay. I added a  
16 new Table 1 in the document, which is a table of the  
17 rankings and the reasons for selecting the TAC for focused  
18 literature review or deferring that literature search. We  
19 also added a table of the TACs that we chose for a  
20 literature search, which I'm calling on this slide New  
21 Table 2, which we could replace with the table that Dr.  
22 Froines just referred to, which has more information about  
23 each one of those.

24 --o0o--

25 CHAIRPERSON FROINES: That's Table B?

1           SUPERVISING TOXICOLOGIST MARTY: Table XX is the  
2 one that has all the information on the 35 TACs, the  
3 evidence for potential differential effects and reasons  
4 for lower or higher priority. So that's the one I think  
5 that Dr. Blanc has comments on.

6           PANEL MEMBER BLANC: But the current table that  
7 you're saying that would replace the Table 2, which  
8 currently exists?

9           SUPERVISING TOXICOLOGIST MARTY: Right, Table 2  
10 in the document is just a list of these 35 substances. It  
11 doesn't --

12          PANEL MEMBER BLANC: That's the one on page 14?

13          SUPERVISING TOXICOLOGIST MARTY: Yes.

14          CHAIRPERSON FROINES: But, Melanie, then there's  
15 this Table B?

16          SUPERVISING TOXICOLOGIST MARTY: Right. That's  
17 an additional piece of information that the panel  
18 requested. I think it was Dr. Glantz wanted us to take  
19 all of those chemicals that didn't make the initial  
20 ranking and say what was missing, ambient air data,  
21 chronic reference exposure levels, unit risk factors. So  
22 that is what Table B is that Peter is handing out, so I  
23 was going to get to that, too, in a minute.

24                 We also, in the text of the document, added a  
25 little more clarification on developmental toxicants and



1 medium and low. And that's noted in the footnote. And  
2 essentially that is what we did when we went through these  
3 chemicals to begin with, to try to see if the ranking  
4 could tell us anything about the importance of those  
5 chemicals for listing under SB 25.

6 I do want to reiterate that the ranking is not  
7 the only thing that went into the decision to look at it,  
8 that the toxicity was an important consideration.

9 --o0o--

10 SUPERVISING TOXICOLOGIST MARTY: So we can go  
11 through that table if you want to now. I have overheads  
12 of that table if you want me to put the overheads up or if  
13 you just -- or if Dr. Blanc just wants to start with  
14 chemicals that he has concerns about, however, you want to  
15 do it.

16 CHAIRPERSON FROINES: Well, I think one problem  
17 that I had, and I don't know if it's shared by other panel  
18 members, but we got a lot of new information in a short  
19 period of time and it's very difficult, having spent a lot  
20 of time on the first two documents, that is your document  
21 and then the comments, hopefully people have had a chance  
22 to go through the additional materials.

23 But I think we've got an awful lot going on  
24 especially in terms of this pretty thick new document. So  
25 my sense would be that, at least for the moment, it would

1 be better to go to some -- if Paul has some specific  
2 comments rather than try and spend a lot of time going  
3 over the entire document.

4           PANEL MEMBER BLANC: Well, before we do that,  
5 because I think, unless we get into the specific  
6 chemicals, we may lose site of the forest for the trees a  
7 little bit, the purpose of the revisions of the main  
8 document was to try to make the document more transparent?

9           SUPERVISING TOXICOLOGIST MARTY: Correct.

10           PANEL MEMBER BLANC: And I think that the thrust  
11 of what you were trying to do was consistent with the  
12 feedback that you got from the panel in terms of doing  
13 that. So I think, first, it would be useful to hit on  
14 general issues of transparency and where that still needs  
15 to be addressed, and then we can get some of the specific  
16 arguments about the various chemicals.

17           One part that I think you were committed to make  
18 more transparent and which I didn't see in my read of  
19 this, and maybe I just missed it, was the part where you  
20 were going to be very specific about how you had farmed  
21 out the literature reviews to outsources and who those  
22 outsources were and how that has done.

23           SUPERVISING TOXICOLOGIST MARTY: We didn't get it  
24 into this draft, so I actually have it in my head if you  
25 want me to --

1           PANEL MEMBER BLANC: Well, I mean, what I want to  
2 understand is the implication is not that you don't plan  
3 to do that?

4           SUPERVISING TOXICOLOGIST MARTY: Exactly, we are  
5 doing it.

6           PANEL MEMBER BLANC: Okay. So one thing that  
7 would have been useful for this kind of revision, and  
8 would have been helpful for me, is to say, okay, here's  
9 where this will go, but we didn't have time to do it,  
10 because reading it, it's hard for me to know whether your  
11 intent is not to do that or it is.

12          SUPERVISING TOXICOLOGIST MARTY: Yeah, we're  
13 going to do it. I couldn't figure out, actually, or I  
14 hadn't thought about where exactly to put that.

15          PANEL MEMBER BLANC: I think it goes in the part  
16 where it says you then decided to do literature reviews of  
17 35 chemicals, because you didn't. Your basis of choosing  
18 the 35 substances or whatever that list was, was not based  
19 on any outside consultancy.

20          SUPERVISING TOXICOLOGIST MARTY: Right.

21          PANEL MEMBER BLANC: As far as I understand it.

22          SUPERVISING TOXICOLOGIST MARTY: That's right.

23          PANEL MEMBER BLANC: The second thing is that the  
24 step of going from the 35 chemicals, and I may have the  
25 number wrong, but the chemicals that are essentially on

1 Table XX now and then some number of those will be decided  
2 as being lower priority, and therefore won't be included  
3 in the final group for consideration of choosing the five.  
4 That still remains rather vague in terms of what your  
5 target number was, if there was a target number, for how  
6 many you were going to winnow away.

7           For example, could all 35 have remained if they  
8 had all had enough information or was there an a priori  
9 decision that of these 35 would probably be reasonable to  
10 prioritize the top ten, and then there just happened to be  
11 11, or the top, you know -- some going into it, can you  
12 expand on that a little bit just verbally?

13           SUPERVISING TOXICOLOGIST MARTY: Sure. We  
14 actually did have an a priori number set that we wanted to  
15 bring to the panel. As we read through the literature and  
16 as staff wrote up information on each chemical, we made  
17 decisions whether we thought that evidence was strong or  
18 not, and also with input on information on exposure to  
19 decide whether to go forward.

20           So we thought we should probably have about ten  
21 or so, but we didn't really say we will have ten and the  
22 rest of them fall away.

23           PANEL MEMBER BLANC: Well, I think the document  
24 needs to be more transparent. First of all, in saying  
25 that you did have an intent to get somewhere around ten,

1 although, you weren't wedded to that. And, secondly, some  
2 sense of what your methodology was. And I will come back  
3 to that when I come to some of the specific chemicals that  
4 seemed to have dropped off. But it's not transparent to  
5 me reading it how one got from 35 to the 11, even if I  
6 were to accept at face value the comments on Table XX.

7           SUPERVISING TOXICOLOGIST MARTY: Okay. We can  
8 add a little more verbiage to the actual text, just to  
9 describe our process.

10           PANEL MEMBER BLANC: So those would be some  
11 general comments about -- the other thing that you haven't  
12 responded to here and perhaps you're prepared to talk  
13 about that a little bit later is how you are going to  
14 handle is the use of developmental affects. You've allude  
15 to it in your introductory comments by -- and also to  
16 state in here as being the reason why you would choose  
17 something, but you haven't come back to the question of  
18 the policy and potential legal implication of interpreting  
19 the legislative act to apply to the teratogenic effects,  
20 for example.

21           CHAIRPERSON FROINES: They have, in their revised  
22 document, they do address on one page, 15, developmental  
23 toxicants as a special -- as a new item, and I assume  
24 you're going to speak to that? Are you going --

25           PANEL MEMBER BLANC: Yeah, I saw that, but that

1 didn't seem to --

2           SUPERVISING TOXICOLOGIST MARTY: I think Paul is  
3 concerned that you guys asked us to come back with a legal  
4 opinion and we actually have a legal opinion. We didn't  
5 write it into here --

6           PANEL MEMBER BLANC: But you're going to be  
7 presenting that today?

8           SUPERVISING TOXICOLOGIST MARTY: Yes, we could do  
9 that now. We could do that later.

10          CHAIRPERSON FROINES: Well, I think before going  
11 to specifics, why don't we address two questions now. One  
12 is the developmental question that Paul and I just raised,  
13 and the second is after that you can go over the general  
14 views on asthma and children as being two particularly  
15 important new areas that you've put in the document.

16          SUPERVISING TOXICOLOGIST MARTY: OEHHA's Legal  
17 counsel is here today, Colleen Heck.

18          OEHHA COUNSEL HECK: Good morning, Mr. Chair and  
19 Members. My name is Colleen Heck. And as Dr. Marty has  
20 indicated, we are prepared to offer a legal opinion today  
21 that developmental toxicants that cause adverse effects on  
22 infants and children are within the scope of SB 25. It is  
23 the legal opinion of both OEHHA and the Air Resources  
24 Board that toxic air contaminants that cause developmental  
25 or other problems for infants and children's -- excuse me,

1 children as a result of prenatal exposure to those TACs  
2 are within the scope of the statute.

3           The opinion is based on a comprehensive reading  
4 of the statute, both its spirit as well as the letter of  
5 the law. The legislative history is quite informative as  
6 well.

7           It's also consistent with good public health  
8 principles, which is a relevant consideration in looking  
9 at how to interpret a statute of this sort.

10           It's clear from reading SB 25 that its principal  
11 purpose is to protect, in quotes or underlined, infants  
12 and children from the deleterious effects of air  
13 pollution. In order to protect infants and children, one  
14 must take into account those factors that affect them.  
15 Prenatal exposures is certainly one such factor.

16           The statute is replete with references to  
17 protecting infants and children from the effects or  
18 impacts of air pollution. There is no focus on the type  
19 of exposure in this statute, unlike perhaps other  
20 statutory schemes one can think of.

21           Rather, the focus of the statute is on what is  
22 the effect of exposures regardless of time of exposure.  
23 There is references throughout the statute to those things  
24 to which infants and children have a special  
25 susceptibility. From both the rules of statutory

1 construction and the understanding in the scientific  
2 community of what that means, infants and children exposed  
3 prenatally to certain air pollutants are especially  
4 susceptible to the harmful effects of those pollutants.

5           And lastly in terms of the principles of how to  
6 interpret this statute, when interpreting a public health  
7 statute, unlike say a criminal or penal or punitive  
8 statute, one must interpret broadly when there is doubt,  
9 ambiguity how to interpret a statute to be inclusive or  
10 less inclusive.

11           So unlike those criminal provisions, when we have  
12 a public health statute of this sort, doubt, if you will,  
13 is to be resolved in the favor of being more inclusive,  
14 more protective. So all of these principles align nicely,  
15 the science and the law and the policy and the legislative  
16 history to tell us that prenatal exposures which can  
17 differentially affect infants and children are within the  
18 scope of this statute. And I'd be happy to answer any  
19 questions.

20           PANEL MEMBER BLANC: Well, I have a few  
21 questions. Did you find anywhere in the legislative  
22 history reference to birth defects?

23           OEHHA COUNSEL HECK: Per se, no. There's strong  
24 statements from the author's office about getting a --  
25 getting at protecting infants and children and her long

1 held view that the current statutory approaches are not  
2 protective of infants and children. The words birth  
3 defects as a distinct phrase do not appear.

4 PANEL MEMBER BLANC: Did the word fetus or fetal  
5 exposure ever appear, since it doesn't appear in the law  
6 itself in the legislative discussion?

7 OEHHA COUNSEL HECK: No. Again, these  
8 discussions are far more generalized at getting at the  
9 fact that these beings have different biological functions  
10 than adults that the current regulatory regimen is not  
11 protective, does not get at the effects of the pollution.  
12 They don't use all of the various terminologies about why  
13 that may or may not be true.

14 PANEL MEMBER BLANC: Is it your legal opinion  
15 that an infant born with cerebral palsy who then  
16 throughout life, both in childhood and as an adult, would  
17 manifest the effects of cerebral palsy but wouldn't  
18 manifest an effect that was preferentially detrimental to  
19 the childhood period of life of that human being?

20 OEHHA COUNSEL HECK: Well --

21 SUPERVISING TOXICOLOGIST MARTY: Let me jump in  
22 here for that one. I think it's -- we talked to our  
23 reproductive toxicologist, including Dr. Gollup who works  
24 at UCD in the center and has been doing teratological  
25 research for quite some time. She says that you need to

1 consider that a child born with a birth defect has impacts  
2 on their development from the get-go.

3           So if you are born with no legs as an infant,  
4 then you have -- you don't develop the way a kid would  
5 develop who had legs. If you lose your legs as an adult,  
6 you've already made those neuron connections that are  
7 associated with crawling and walking and so forth.

8           Also, she brought up the point that most  
9 teratogens don't just result in an anatomically distinct  
10 abnormality, that they're most associated with a syndrome  
11 that includes other toxic effects.

12           And so, in her view, those -- it's too limiting  
13 to say well, if you're born with no legs as a kid, and you  
14 have no legs as an adult, there's not a differential  
15 susceptibility.

16           CHAIRPERSON FROINES: Let me ask a question about  
17 that. One of the things that the public wants to know and  
18 this panel needs to know in making a decision is what is  
19 the evidentiary basis for a decision? In other words, we  
20 want to know what was the scientific basis to underlay a  
21 particular decision?

22           To appear before the panel and to say that one of  
23 your toxicologists gave the opinion that children who have  
24 no legs will be forever impacted because there are other  
25 developmental factors that may occur, this panel -- that's

1 not a scientific statement. That's a speculation, in my  
2 view.

3           It may have a scientific underpinning. But if  
4 we're going to have a document that we use for decision  
5 making, then we should have the scientific basis of that  
6 statement laid out. Otherwise, it's somebody's point of  
7 view, it's not a -- there is no evidentiary basis for it.

8           SUPERVISING TOXICOLOGIST MARTY: Well, we did in  
9 our --

10           CHAIRPERSON FROINES: There may be an evidentiary  
11 basis for it, but none that we have seen, so we can't  
12 accept her position. One, she's not even here, but,  
13 secondly, we can't just simply let people say our  
14 toxicologists says the following is true and then we all  
15 bow and say thank you very much, we accept that. That's  
16 simply not a process that we can accept.

17           SUPERVISING TOXICOLOGIST MARTY: Okay. Let me  
18 just say that there is a lot of literature that backs that  
19 statement up. We can put in the citations.

20           CHAIRPERSON FROINES: Well, then we should see  
21 it. Well, that's what we judge the literature. We don't  
22 judge the comments. That's all we can do is judge the  
23 scientific basis of which you give us. That's our job.  
24 Our job is not to judge the speculation of an interested  
25 party to a circumstance.

1           SUPERVISING TOXICOLOGIST MARTY: We can bring in  
2 some citations, but I think we need to make sure that --

3           PANEL MEMBER BLANC: First, you need to make sure  
4 that you're going down the road that's consistent with  
5 what you're going to be -- that you're going to receive an  
6 appropriate response, if you're going down that road --  
7 that you're headed down the right track, is that what you  
8 were about to say?

9           SUPERVISING TOXICOLOGIST MARTY: No, I just  
10 wanted to say that we were looking at chemicals on a  
11 case-by-case basis. And we do make the point that just  
12 because something is a developmental toxicant doesn't mean  
13 it automatically gets listed or is subject to listing  
14 under SB 25.

15           CHAIRPERSON FROINES: Well, when we get to glycol  
16 ethers today, then we will expect to have a presentation  
17 of what was the underlying basis that shows effects, not  
18 simply in terms of birth defects, but long-term impact of  
19 glycol ethers on the child and subsequently the adult.

20           PANEL MEMBER BLANC: Well, let me come at it from  
21 a different way, because I think, Melanie, if I understand  
22 what you're saying and what legal counsel is saying that  
23 hypothetically there certainly could be a chemical that  
24 would make it all the way down the list, even into the top  
25 five, if it were a developmental toxin with exposure

1 concerns that was high level of exposure and there was no  
2 direct evidence that you had no evidence either in animal  
3 or epidemiologic human studies showing an effect on  
4 children when exposed as children. And so the entire  
5 extrapolation was based on -- or the entire finding was  
6 based on the known and well-established developmental  
7 effects in utero.

8           And what you're saying is that from a legal point  
9 of view, were a chemical to have those aspects, would be,  
10 potentially could be, listed. We're not saying that one  
11 of the ones would be. What Dr. Froines is saying, and  
12 what I would echo, is that to do that one thing is that  
13 your section on developmental toxicity should be a bit  
14 more explicit about the scenario, wherein a child would be  
15 deferentially affected by coming into childhood with a  
16 series of impairments and citing the literature to support  
17 that.

18           The second thing that I think is very important  
19 would be for us to hear a legal opinion and for somehow  
20 this document to take account of that, that this in no way  
21 is meant to imply that a fetus is a child, that the  
22 interpretation of this act is that a fetus is a child or  
23 that the ARB's interpretation is.

24           And that's what really concerns me, that someone  
25 could take your document and then say well the Air

1 Resources Board has, through its findings, declared  
2 that --

3 OEHHA COUNSEL HECK: We'd be happy to make it  
4 clear that that's the basis for the legal opinion that  
5 prenatal exposures leading to differential outcomes in  
6 infants and children is the basis for our opinion.

7 PANEL MEMBER BLANC: I'd like to see that stated  
8 explicitly in the document as well.

9 PANEL MEMBER FUCALORO: And, Paul, the reason for  
10 that is because it's inconsistent with California law? I  
11 mean, what's the reason you would want a legal statement  
12 on that?

13 PANEL MEMBER BLANC: The fetus is not a child,  
14 and the --

15 PANEL MEMBER FUCALORO: The law.

16 PANEL MEMBER BLANC: -- the law says, which this  
17 law is based, is talking about children. It never once  
18 mentions fetus. And then to turn around and declare a  
19 chemical under the statutes because it only affects a  
20 fetus without then saying -- but it's not because of its  
21 fetal effects, because if that fetus did not survive the  
22 birth, this is not the issue. The issue is fetuses that  
23 survive to birth and then have these problems including  
24 childhood.

25 PANEL MEMBER FUCALORO: You're more sophisticated

1 legally than I am, but isn't it true that -- I mean, this  
2 takes us far afield and that's why I was a little worried  
3 about this line of questioning, although I think it may be  
4 necessary. Isn't it true, and I could be wrong, this is  
5 just from reading the newspapers, that people can be  
6 charged with murder for killing a fetus? I mean, saying  
7 that -- and the crime or something like that?

8           OEHHA COUNSEL HECK: Yeah. The penal code was  
9 amended about 35 years ago. There was an individual  
10 charged with homicide for assaulting his late-term  
11 pregnancy wife. The fetus did not survive the birth. He  
12 was charged with homicide. He was convicted. The Court  
13 of Appeals overturns it saying the fetus is not a human  
14 being within the meaning of the historic common law which  
15 underlies our homicide statute. The statute was amended,  
16 Penal Code Section 187, to say homicide is unlawful  
17 killing a human being or a fetus.

18           So it was named as a distinct entity that could  
19 be the basis for murder as opposed to being within the  
20 subset of the term human being.

21           PANEL MEMBER FUCALORO: So we're going to have it  
22 both ways. Good, I see.

23           (Laughter.)

24           OEHHA COUNSEL HECK: That's the way the  
25 Legislature saw fit to solve that dilemma.

1           PANEL MEMBER BLANC: And, finally a long the same  
2 lines, I think, Melanie, it would be useful in your  
3 discussion on developmental toxicants to emphasize perhaps  
4 a bit more than is there in a couple of sentences why for  
5 all of those reasons toxins, which would tend to manifest  
6 their effects in later gestation, would be even more of  
7 concern perhaps, under this approach, since they would be  
8 more likely to affect the developing nervous system and  
9 ways in which a fetus would then survive to childhood or  
10 however you want to phrase that.

11           DR. MARTY: Yeah, then, again, it's a case by  
12 case issue.

13           PANEL MEMBER BLANC: I understand that, but you  
14 just do lay out general principles it seems to me.

15           SUPERVISING TOXICOLOGIST MARTY: Okay.

16           CHAIRPERSON FROINES: Are there any chronic RELs  
17 or acute RELs based on birth defects?

18           SUPERVISING TOXICOLOGIST MARTY: Yes.

19           CHAIRPERSON FROINES: Based solely on birth  
20 defects?

21           SUPERVISING TOXICOLOGIST MARTY: Yes.

22           CHAIRPERSON FROINES: So does that mean that you  
23 now need to go back and use another basis for your input  
24 for that risk assessment, because the law seeks to develop  
25 new risk assessments, as I understand it, based on the

1 differential risk; isn't that correct? Doesn't the law  
2 ask you to look at how a new risk assessment might be  
3 developed based on the notion of a differential effect?

4 DR. ALEXEEFF: Well, at a later stage we'll go  
5 back and look at the reference exposure levels, but it's  
6 simply to see if they're protective of infants and  
7 children. Maybe the numbers don't have to change at all.  
8 We haven't developed a new methodology that would say you  
9 have to add an additional factor or an additional sort of  
10 formula in order to protect infants and children,  
11 mathematic -- or quantitatively.

12 So, at this point, we don't -- you know, if it's  
13 already based on birth defects, we wouldn't change it at  
14 this time. But we're planning on developing methodology  
15 or looking at methodologies that we will bring to the  
16 panel on how we would handle understanding differential  
17 treatment.

18 So what I'm saying is there's no a priori reason  
19 we're going to go and change any chronic REL right now  
20 because the chemical is on the list, but at some point, we  
21 will look at methodologies to see if infants and children  
22 are protected with the current methodologies, and they may  
23 be.

24 PANEL MEMBER BYUS: It is confusing. It's just  
25 not you guys have been talking, but it is confusing. On

1 first reading this, I would not have thought that  
2 teratogens and developmental toxicants would have been  
3 included in this. And it's okay that it is, but, I mean,  
4 my reading was the same as the rest of the panel's. And  
5 then to this chronic REL issue is even more confusing to  
6 me as you said, John, because the chronic REL was based on  
7 developmental toxicity, then that chemical shouldn't be on  
8 the list, because it was developed already for children  
9 and there's no reason to consider it -- I mean, the child  
10 was the driving force behind it.

11           SUPERVISING TOXICOLOGIST MARTY: The list  
12 triggers risk management, that's what it does. And so if  
13 there's -- the effect that a chemical has a reference  
14 exposure level based on developmental toxicity is not  
15 connected to whether or not risk management actions have  
16 been taken against that chemical.

17           CHAIRPERSON FROINES: Yeah, but I think that the  
18 Legislature believes that some chemicals differentially  
19 impact children's health more profoundly than the same  
20 exposures to the adult. I mean, that's what they're  
21 trying to get at. They think that kids are more  
22 susceptible, in many cases, than adults. And so to the  
23 degree that we're saying we have those chronic RELs based  
24 on birth defects, there is a contradiction. There is a  
25 logical contradiction between what the Legislature thought

1 they were doing and what we're actually doing.

2 I think it --

3 OEHHA COUNSEL HECK: I think there's a  
4 consistency that in both cases we're saying these are  
5 chemicals that may have differential outcomes on kids.  
6 The fact that the REL was based on the birth defects is  
7 confirmative or consistent with saying, yeah, the chemical  
8 that we need to look at to make sure the risk management  
9 levels, when set, are protective of all those people of  
10 the infants and children, that could be differentially  
11 impacted.

12 CHAIRPERSON FROINES: Except for -- I understand  
13 what you're saying. Except that this law was new. It was  
14 an attempt to seek out new science around differential  
15 susceptibility. To the degree that we focus on what we  
16 already know, then we don't go to the new science that the  
17 Legislature was looking for. We already know about  
18 thalidomide. We don't need to build a State law to  
19 address it. And you're saying it fits. And, of course,  
20 you're right, of course it fits, nobody is arguing that.

21 But it's not really new. Thalidomide we  
22 understand its teratogenicity. Martha Escutia, Senator  
23 Escutia did not push that bill to develop legislation to  
24 address thalidomide. She did it to address new science of  
25 differential susceptibility. That's what she's trying to

1 get at. And to the degree that we go back and tell her  
2 what we already know, it doesn't meet the goal of the  
3 legislation, that's the problem.

4           PANEL MEMBER FRIEDMAN: I don't agree with you.  
5 I think their approach is very reasonable. I think that  
6 if, you know, given that children or infants are more  
7 susceptible, if the standard that has been developed  
8 protects them, okay fine. I don't see that we have to  
9 come up with something new in a case like that, and I  
10 think that their approach is very reasonable. So I don't  
11 want you to think the whole panel disagrees with that.

12           DR. ALEXEEF: This is George Alexeeff, I didn't  
13 introduce myself, with OEHHA, for the court reporter.

14           There's a couple of different factors. There's  
15 three sort of areas that's happening with this new law  
16 that has to do with toxic contaminants. One is the  
17 listing process, this list we're developing. The other  
18 one is the ATCM process, the toxic control measure  
19 process, which is Air Board's responsibility. The third  
20 area is us reevaluating our chronic RELs or Reference  
21 Exposure Levels or cancer potency factor. There's three  
22 different things that are happening. The way this list is  
23 set up is that we identify chemicals where children are  
24 differentially impacted and put them on this list.

25           The next step is for the Air Resources Board to

1 look at their ATCM, if they have one, and to reevaluate  
2 it, look at the current information to see if their ATCM  
3 is proper.

4           If they don't have one, they have to develop one.  
5 So that's what the list actually --

6           SUPERVISING TOXICOLOGIST MARTY: If they don't  
7 have one, they have to do a needs assessment to see  
8 whether they need to develop one.

9           DR. ALEXEEF: Oh, that's right Excuse me.  
10 There's a whole process, the whole ATCM process, so it  
11 triggers the ATCM process, if they don't have it which  
12 starts the needs assessment, check for exposure and all  
13 those sort of issues. And then a later stage in a couple  
14 of years, there's a time line in the law, several years  
15 we'll be coming back and looking at reference exposure  
16 levels, either updating ones we've presented the panel or  
17 providing even new ones based upon, you know, the  
18 information we've developed over the next couple of years.

19           So there's sort of three different things, they  
20 don't necessarily, you know, play off one another. I  
21 think the key factor is chemicals that do go on this list  
22 then require the Air Resources Board to consider the  
23 control measure process and to see if their control  
24 measures are adequate.

25           CHAIRPERSON FROINES: I think we should go on,

1 because we've gotten a sound legal opinion, and Paul's  
2 asked for some specific language and now we're talking  
3 about our views of the issue. And I think we should go to  
4 the substantive things that we need to pursue.

5 DR. ALEXEEF: That's fine, but I think the key is  
6 the legal opinion stated, that Melanie stated, was that  
7 developmental toxins are an area that we can consider. It  
8 doesn't mean they're on the list, but we're not excluding  
9 them all. They can be a factor in this process.

10 CHAIRPERSON FROINES: But keep in mind, the  
11 importance of developing the evidence when you're going to  
12 be making an argument so that we avoid this kind of  
13 speculative argument.

14 So we're back to Paul now.

15 PANEL MEMBER BLANC: Well, no, I think your  
16 request was that consistent with the general principles  
17 that we also address the asthma section.

18 CHAIRPERSON FROINES: Okay.

19 PANEL MEMBER BLANC: Well, that was your last  
20 request.

21 SUPERVISING TOXICOLOGIST MARTY: We added a small  
22 section on asthma in children to the introduction.  
23 Basically, we make the point that the prevalence rates  
24 statistics indicate that kids have more asthma than adults  
25 as a percentage of the population. And we make the point

1 that because they have smaller airways, we're concerned,  
2 and it seems that they get into trouble faster when they  
3 have an asthma attack than someone with a larger airway  
4 like an adult.

5           And we also bring forth the use of  
6 hospitalization rates for children being much higher than  
7 adults and realize and state that while hospitalization is  
8 influenced by a number of factors, that we believe this  
9 information supports the concern that asthma impacts  
10 children more than it does adults. Therefore, TACs that  
11 exacerbate asthma should be considered for listing under  
12 SB 25.

13           Any questions about that information?

14           PANEL MEMBER BLANC: Well, one of the things  
15 that -- since you put in a section on asthma, one of the  
16 things that seems to be missing from it is that clearly  
17 you would also be concerned about things which induce  
18 asthma and not only things which exacerbate asthma.

19           SUPERVISING TOXICOLOGIST MARTY: Yes, did I --  
20 it's not in there.

21           PANEL MEMBER BLANC: No. We have included  
22 exacerbation of asthma, so it should definitely be  
23 induction or exacerbation.

24           SUPERVISING TOXICOLOGIST MARTY: Yes.

25           PANEL MEMBER FUCALORO: That was mentioned at the

1 last meeting.

2           PANEL MEMBER BLANC: So therefore things,  
3 which -- such as diesel, hypothetically, which might act  
4 as adjuvants to sensitization might be an issue, if you we  
5 were concerned about asthma in childhood specifically.

6           DR. MARTY: Yeah.

7           PANEL MEMBER BLANC: Now, another question I  
8 would have about, since you have a section on asthma in  
9 childhood, you have a section on developmental toxicants.  
10 It's fairly early on, and these are both separate from the  
11 section factors influence in why infants and children  
12 might be more susceptible than adults, wherein you have  
13 the inhalation issues -- it's, you know, unchanged from  
14 previous ones, food intake, the sort of roots of exposure  
15 issues, behavioral factors that influence -- all things  
16 that influence exposure, thermal exposure, metabolic  
17 differences, distribution difference. Those all sort of  
18 pharmacokinetic, pharmacodynamic things, in excretion,  
19 obviously.

20           Then later on, page 43, the central nervous  
21 system, the endocrine system, the immune system, lung  
22 development, children's cancer risk. There's a little  
23 question about asymmetry, since you have, sort of,  
24 upfront as an outgrowth of the, you know, of the questions  
25 that were raised, you have these sort of isolated sections

1 about developmental and asthma as particular issues.

2           And I don't know how you want to handle this, but  
3 I think you should go back and take a look at the document  
4 and make sure that you're putting things in the right  
5 order, that something isn't sort of hanging things out  
6 there, illogically.

7           SUPERVISING TOXICOLOGIST MARTY: Yeah, I think,  
8 actually you have a good point. We should probably take  
9 that whole section 3D and move it in front of all the  
10 physiological and pharmacokinetic --

11           PANEL MEMBER BLANC: Because it implies that  
12 other things, you know, aren't going to be something you  
13 can take into account. For example, you're talking about  
14 developmental lung, but things that affect -- and cancer,  
15 you have those three things. And then it says if  
16 hematological effects wouldn't matter.

17           There's another issue I would make about asthma  
18 that you could use as an argument as to why it might  
19 matter and also why cancer wouldn't matter differentially  
20 for children, because I understand you have a bit of a  
21 problem with the cancer issue again as to the logic as to  
22 why children are more at risk unless you're going to  
23 generically invoke the shelf-life issue.

24           And one issue you could make is that children who  
25 had to undergo chemotherapy would probably differentially

1 have long-lasting effects as compared to adults who  
2 underwent chemotherapy. And the same thing would actually  
3 be true of asthma, you could make the argument that  
4 children who needed steroids for asthma are more likely to  
5 experience deleterious effects of systemic corticosteroids  
6 than adults who got corticosteroids at a similar dose, so  
7 that the treatment for the disease would make children  
8 more at risk. I don't know whether that's something you  
9 want to throw in there.

10 SUPERVISING TOXICOLOGIST MARTY: We actually  
11 allude to it in the section on cancer, because kids who,  
12 for example, receive --

13 PANEL MEMBER BLANC: You say, that they're more  
14 at risk, later malignancies, but just in terms of  
15 developmental impacts of --

16 SUPERVISING TOXICOLOGIST MARTY: Okay.

17 PANEL MEMBER BLANC: From our pediatrician, from  
18 a pediatrician.

19 DR. MILLER: Mark Miller, with the OEHHA. A good  
20 example might be pediatric brain tumors for which  
21 radiation is often the treatment of choice, and you can't  
22 really radiate a child under three years of age, because  
23 of the developmental impacts on the brain. And it puts  
24 oncologists in a dilemma.

25 SUPERVISING TOXICOLOGIST MARTY: We can add that

1 information.

2           CHAIRPERSON FROINES: I just want to go back and  
3 reraise an old issue, that I'm still slightly  
4 uncomfortable with, and I don't want to take much time on  
5 it. I think it's -- the inclusion of a section on asthma  
6 in children is very important. And so I commend you for  
7 that. I also agree with the prevalence statistics that  
8 you have developed. And I agree with the differences in  
9 the physiologic characteristics.

10           Where I still have a problem with your argument  
11 is with this hospitalization rate question. And I readily  
12 admit that I had it backwards last time between blacks and  
13 whites. And so I was wrong. I remembered my own slide  
14 incorrectly. The argument is still, as far as I'm  
15 concerned, the same. I still think that at some level  
16 from an epidemiologic standpoint that what influences  
17 hospitalization or seeking of health care has a lot to do  
18 with social and behavioral factors that we've all -- I  
19 think we all would agree that those are important.

20           But in the document you have two sentences on  
21 hospitalization, two or three sentences on  
22 hospitalization. And so you're making hospitalization  
23 rates as an argument for differential impacts of asthma in  
24 children. And I just want to be clear on what you're  
25 really trying to say with that argument, because I think

1 there's a very clear reason why blacks or whites seek  
2 hospitalization differently. And I think that that has to  
3 do a lot with socioeconomic factors as well as behavioral  
4 factors.

5           But I think it's important to put on the record  
6 and put in the document what is it that you're really  
7 saying about the differences between childhood asthma and  
8 adult asthma, for example, in terms of the hospitalization  
9 argument.

10           PANEL MEMBER BLANC: John, can I -- maybe, I'll  
11 just save them some time here. I think that --

12           CHAIRPERSON FROINES: Well, Michael just came to  
13 the table. We'll miss the opportunity here.

14           PANEL MEMBER BLANC: I want to hear what Mike  
15 says, but, you know, there's --

16           PANEL MEMBER BYUS: Not that much.

17           (Laughter.)

18           PANEL MEMBER BLANC: Hospitalization, I just  
19 don't want you to get yourself out on a limb.  
20 Hospitalization is considered, in general, a  
21 nondiscretionary marker of severity in asthma. So that  
22 although visits to the emergency department are considered  
23 discretionary, because one could go to their doctor if  
24 they had good access, getting admitted to the hospital is  
25 not considered discretionary and therefore is considered a

1 true marker of severity as good as we have such markers.

2 Mike.

3 CHAIRPERSON FROINES: I think next time you  
4 should let Mike say it first.

5 DR. LIPSETT: This is Michael Lipsett, OEHHA.

6 And that's exactly what I was going to say.

7 (Laughter.)

8 DR. LIPSETT: And I just wanted to add also that  
9 just -- you don't necessarily even need to look at that in  
10 terms of a severity marker, but if you're also looking at  
11 issues related to prevalence as well, that's not  
12 necessarily something that has to do with, say, the  
13 behavior types of factors, if you're looking at it.

14 As for the hospitalization of -- I won't take  
15 anymore time. That's exactly what I was going to say.

16 CHAIRPERSON FROINES: Well, my point here is  
17 going back to something I said much earlier about the  
18 evidentiary basis for things. I think what Paul just said  
19 and what you followed up with is very useful, and I don't  
20 want to be out on a limb, because then I get eaten up by  
21 Gary or Paul or a whole bunch of people.

22 But the point I'm trying to make is that the  
23 document should have those kinds of arguments, because  
24 that really clarifies the issue. That's the issue here.

25 PANEL MEMBER FUCALORO: It's in one sentence.

1           CHAIRPERSON FROINES: Proving that I'm wrong is  
2 not the issue, it's what's in the document.

3           SUPERVISING TOXICOLOGIST MARTY: I'll put that  
4 in. Also, I did want to add that I was going to take some  
5 of the prevalence rate data and make a table for that, and  
6 I thought I had done that, but it's not in here.

7           CHAIRPERSON FROINES: Well, this issue is so  
8 important because it comes up with Phs, with diesel, with  
9 acrolein, with formaldehyde and so on and so forth, and it  
10 may come up again in the future. So having this laid out  
11 as clearly as possible is really important.

12          SUPERVISING TOXICOLOGIST MARTY: Okay.

13          PANEL MEMBER FRIEDMAN: I'd like to just  
14 reemphasize what Stan said, I think, a few meetings ago  
15 about the absence of environmental tobacco smoke from this  
16 list, because we all know that it has harmful effects on  
17 children. And I contacted Melanie and she informed me  
18 that the reason it wasn't being considered is because it's  
19 not officially labeled as a toxic air contaminant.

20                 And I think, you know, that we should be explicit  
21 that, you know, that I gather that was a political not a  
22 scientific decision, because the report that came through  
23 said -- it recommended that it be listed as a toxic air  
24 contaminant. So I think, you know, there's something  
25 funny the fact that's totally missing from this

1 consideration, and I wonder if it could be brought up in  
2 someway in connection with SB 25. Maybe that would take a  
3 legal opinion, but I'm bothered by its absence, and  
4 instead we're looking at chemicals which are much less  
5 prevalent.

6 CHAIRPERSON FROINES: I don't know who wants to  
7 speak to this issue from ARB, but --

8 OEHHA COUNSEL HECK: Colleen Heck, again. Dr.  
9 Friedman's exactly right in that the reason for its -- the  
10 simple reason for its noninclusion is it has not yet been  
11 identified as a TAC and the statute is very clear that  
12 we're only to look at those things that are, in fact,  
13 listed as toxic air contaminants. We would have no  
14 authority noted as discretion at all to exercise here to  
15 look at ETS unless and until such time as it is identified  
16 as a toxic air contaminant.

17 So the only quibble I would have with your  
18 description is the use of the word political. It's a  
19 legal problem, if you will, or barrier for OEHHA. We have  
20 no authority here to delve into this. So if Senator  
21 Escutia could amend her bill to name ETS by name or ETS  
22 could get listed. Until either of those things happen,  
23 we're handcuffed.

24 CHAIRPERSON FROINES: Well, the question that I  
25 had is, it is my impression that the Air Resources Board

1 and OEHHA are going to consider moving ETS forward as a  
2 toxic air contaminant. And so I was hoping to get some  
3 clarification on that issue from somebody from ARB and  
4 OEHHA, because that, I think, would respond to Dr.  
5 Friedman's question directly.

6 MS. BROOKS: My name is Jeanette Brooks and I'm  
7 with the Air Resources Board and our management has  
8 seriously considered entering environmental tobacco smoke  
9 into the process. And I don't have a final decision for  
10 you today, but very soon I will.

11 PANEL MEMBER FUCALORO: What is very soon?

12 MS. BROOKS: I'm hoping within the next week or  
13 two.

14 PANEL MEMBER FRIEDMAN: What's the process? I  
15 just don't know what you say by when you say entering --  
16 what process are you talking about?

17 The formal identification of the substance as a  
18 toxic air contaminant. Since it's a hazardous air  
19 pollutant it's not an automatic listing as a toxic air  
20 contaminant, so it would be a process similar to the one  
21 we went through with diesel exhaust. But there is a  
22 report that we can use as a basis there, but there will  
23 need to be some updating.

24 There was no quantitative risk assessment in that  
25 report, and Melanie can speak to that. And then SB 25 did

1 amend our identification process in the law where you do  
2 have to take into account the impacts on children. So  
3 more work needs to be done on that report, but there is a  
4 good basis to start with.

5           PANEL MEMBER FRIEDMAN: So we've gone through,  
6 you know, the process and gone through the OEHHA beautiful  
7 report on environmental tobacco smoke. We reviewed it,  
8 approved it, and then it goes to ARB. And could you  
9 explain a little more what that process that ARB goes  
10 through before it labels something as a toxic air  
11 contaminant?

12           MS. BROOKS: Well, what we do normally is we have  
13 a public -- before we start the process, we have a public  
14 information request that goes out on exposure and health  
15 effects. We get that information back, and we make a  
16 formal request to OEHHA in a memo asking them to begin  
17 work on their Part B report, and then they start their  
18 work on their side of the report and then we start our  
19 work on the exposure part, and it involves public  
20 workshops and a panel review of the report.

21           PANEL MEMBER FRIEDMAN: But hasn't that all been  
22 done already?

23           MS. BROOKS: Not everything that's in that  
24 previous report will meet the requirements in the law now  
25 for identifying a substance. So we need to build upon

1 what's been done and bring it back to the panel for  
2 review. There will be some new information in that  
3 report.

4 PANEL MEMBER GLANTZ: Well, we've been hearing  
5 for several months this was going to start in two weeks.

6 MS. BROOKS: The best I can do right now.

7 PANEL MEMBER GLANTZ: Where's the hang up?

8 MS. BROOKS: We're waiting for our Executive  
9 Officer to approve a letter to the panel.

10 PANEL MEMBER BLANC: Can I ask a legal opinion  
11 again? There would be nothing in -- there would be  
12 nothing that would legally preclude OEHHA from, in their  
13 document, in the introductory part of their document, from  
14 being explicit as to why environmental tobacco smoke will  
15 not be addressed, --

16 OEHHA COUNSEL HECK: That's correct.

17 PANEL MEMBER BLANC: -- even though on a  
18 biological basis it would otherwise meet criteria?

19 OEHHA COUNSEL HECK: Right. We may have a little  
20 bit of a semantic disconnect. We'd be saying that the  
21 inclusion doesn't mean that other things were ruled in or  
22 out purely on a science basis, but what was the scope of  
23 SB 25 and anything not attacked was clearly outside of  
24 that.

25 PANEL MEMBER BLANC: Right, because it was our

1 specific request at the last meeting and it's exactly  
2 parallel that there be a similar paragraph addressing the  
3 obvious reasons why pesticides would otherwise be of grave  
4 concern, but could not be included here because of  
5 statutory reasons, and that was not yet in this version.

6 SUPERVISING TOXICOLOGIST MARTY: It's not in  
7 there yet. It's coming.

8 PANEL MEMBER BLANC: And I think that in the same  
9 section, an explicit comment on ETS would be appropriate  
10 as long as you don't believe there's a legal reason why  
11 they can't do that.

12 OEHHA COUNSEL HECK: No, I think it would be  
13 clear to point out though that we'd be stating not that we  
14 delved into the merits of ETS, but that we could not  
15 because of a legal bar. So I don't know how that would  
16 exactly read, but let me just answer your question, we're  
17 not legally precluded from making such a statement. We  
18 could do so if we --

19 PANEL MEMBER FUCALORO: I think that would make  
20 us feel a lot bet on this panel, if both of those, the  
21 pesticides and the ETSs were in there.

22 OEHHA COUNSEL HECK: Since you've brought up the  
23 pesticides, let me just quickly add that not only was it  
24 not within the scope of the existing law about what the  
25 TAC program could get at, it was reiterated quite clearly

1 in SB 25 that pesticides and their pesticidal use were  
2 outside the ambit of SB 25. So we can clarify both of  
3 those points.

4 PANEL MEMBER BLANC: I think the panel is trying  
5 to make clear that we want to see accompanying that a  
6 comment in the report which says, of course on biological  
7 grounds, these would have been a priori substances that  
8 would have gotten a great deal of attention other wise.

9 SUPERVISING TOXICOLOGIST MARTY: I don't think  
10 there's a problem saying that.

11 PANEL MEMBER BYUS: Certainly, given the laws  
12 suggesting that we consider additivity of exposure by  
13 common mechanisms, which clearly the pesticides probably  
14 fall into as a group more than any other compounds, series  
15 of compounds.

16 CHAIRPERSON FROINES: Can I go back to Stan's  
17 question and Gary's point. I think the Chair would  
18 entertain a resolution from the panel that I write a  
19 letter to the Executive Officer of the Air Resources Board  
20 and stating the opinion of the panel with respect to the  
21 ETS issue in terms of its being considered as a TAC.

22 In other words, we should send a letter to -- I  
23 think we should send a letter to Mike Kenny requesting  
24 that this issue be moved forward as expeditiously as  
25 possible. So I think we need a resolution, Stan, to that

1 effect.

2 PANEL MEMBER GLANTZ: Gary brought it.

3 PANEL MEMBER FRIEDMAN: So moved. I move what  
4 you just said that you write the letter asking about this.

5 PANEL MEMBER GLANTZ: I'll second it.

6 CHAIRPERSON FROINES: Any discussion?

7 PANEL MEMBER FUCALORO: Yeah, just a question.  
8 I've said this before and I'll say it again, I was very  
9 impressed with the presentation you made a couple years  
10 ago regarding how you set priorities for those chemicals  
11 that came up as TACs. Do you know what I'm referring to?

12 SUPERVISING TOXICOLOGIST MARTY: The ARB's  
13 prioritization process?

14 PANEL MEMBER FUCALORO: Yes, it was ARB's right.  
15 Does ETS show up on the radar map on that particular one?  
16 I don't know the answer to that.

17 DR. MARTY: Jeanette, do you know the answer to  
18 that?

19 MS. BROOKS: I'm sorry, I don't know the answer  
20 to that question.

21 CHAIRPERSON FROINES: Can she come up and speak  
22 into the microphone for the court reporter.

23 DR. ALEXEEFF: This is George Alexeeff.  
24 Jeanette, I think the question was, if you can recall the  
25 prioritization procedure the ARB has for prioritizing

1 potential toxic contaminants, if you recall where ETS is  
2 on that prioritization list or if it has been prioritized.

3 MS. BROOKS: I can't remember the exact ranking,  
4 but I know that it wasn't in the top 40 ranks.

5 CHAIRPERSON FROINES: Was it the list?

6 MS. BROOKS: And we were looking in our last  
7 update a couple years ago we were looking at the top 40  
8 ranks, so it must have been somewhat lower.

9 PANEL MEMBER FUCALORO: Now, does the top 40  
10 include those who have already been considered TACs?

11 MS. BROOKS: Yes, it would be -- once we go  
12 through our prioritization scheme, then they just, you  
13 know, they just fall out in terms of the information.

14 PANEL MEMBER FUCALORO: You don't recall where it  
15 is?

16 MS. BROOKS: I don't recall the exact score.

17 CHAIRPERSON FROINES: But Jeanette, are you sure  
18 it would have been on the list --

19 MS. BROOKS: It's a candidate.

20 CHAIRPERSON FROINES: -- because I think you're  
21 going to talk about getting out on a limb, if it's not in  
22 your top 40, somebody is going to be out on a limb. And  
23 so I would be careful on that. I suspect it wasn't on the  
24 list.

25 MS. BROOKS: Well, at one point, in our last

1 update, we just picked the rank of 40 to stop at, because  
2 there was just, you know, so many.

3 PANEL MEMBER BLANC: But, you know, it's very  
4 hard to believe given the level of toxicity that you're  
5 dealing with. I think you better go check your list, but  
6 I'll tell you --

7 MS. BROOKS: We'll do that. We're going through  
8 that process this year.

9 PANEL MEMBER GLANTZ: -- It's very, very  
10 troubling. I mean, this issue has come up at this panel  
11 now for the last half a dozen meetings, and we have been  
12 told over and over again by ARB that this was going to be  
13 dealt with expeditiously. And every meeting we hear that  
14 in two weeks there will be a letter, you know. I mean,  
15 it's just ridiculous.

16 CHAIRPERSON FROINES: But I think the reason I  
17 suggested sending a letter to the Executive Officer is  
18 it -- I don't want to pick on Jeanette, because it's not  
19 within her --

20 PANEL MEMBER GLANTZ: No, I agree.

21 CHAIRPERSON FROINES: She has to. She's caught  
22 between --

23 PANEL MEMBER GLANTZ: No, I understand.

24 MS. BROOKS: I'm used to being caught. That's  
25 all right.

1           PANEL MEMBER GLANTZ: I understand, but I think  
2 it's important, though, to state for the record that I  
3 think, in terms of this specific issue, the ARB has not  
4 been responsive to the suggestions of this panel. And to  
5 bring forward a report on exposure of children to toxics  
6 that ignores ETSs from a -- I mean, I understand what the  
7 legal issues are, but from a scientific point of view it's  
8 really embarrassing.

9           You know, and if you read your own report, which  
10 was approved by this panel, there are, in fact, one or two  
11 chapters in there that deal with effects on children.  
12 And, in fact, the evidence on health effects of ETS, the  
13 oldest and best established evidence going all the way  
14 back into the fifties, sixties and seventies is affects  
15 the children, asthma and other issues like that. So, I  
16 mean, I think we need to get this resolved.

17           CHAIRPERSON FROINES: I think we should move  
18 ahead. The point has been made and made and made. And  
19 the frustration is the fact that it's been made and made  
20 and made, but we shouldn't -- I feel a need to redo it  
21 again.

22           MS. BROOKS: We understand the panel's concern.

23           PANEL MEMBER FRIEDMAN: Can we vote on your  
24 letter on this motion.

25           CHAIRPERSON FROINES: Oh, I'm sorry. You're

1 right, we didn't vote.

2 All in favor?

3 (Ayes.)

4 CHAIRPERSON FROINES: Did you want to comment or  
5 leave it as stated?

6 DR. PRASAD: Leave it as stated.

7 CHAIRPERSON FROINES: I saw you move forward at  
8 one point and thought you were going to come to the table  
9 and I wanted to give you the opportunity.

10 DR. PRASAD: Shankar Prasad from ARB Chairman's  
11 office.

12 DR. PRASAD: Basically, I would add hear is that  
13 there is an interest from the Chair's office and the  
14 Executive Office to move forward on that, but certainly  
15 it's been held up because of the reasons. There has been  
16 a constant dialogue going on between the two agencies  
17 OEHHA and the ARB. And I'll carry the message about the  
18 panel's interest and certainly you will hear from us.

19 CHAIRPERSON FROINES: Thank you.

20 Melanie, I think we are now, unless I'm  
21 mistaken -- Paul, did you want to pose some specific  
22 questions?

23 PANEL MEMBER BLANC: Well, first I'd ask do you  
24 want to take a short break before we do that, because it's  
25 10:30 and this is going to be a --

1 CHAIRPERSON FROINES: Take awhile?

2 PANEL MEMBER BLANC: Take awhile.

3 CHAIRPERSON FROINES: Let's take a ten-minute  
4 break.

5 (Thereupon a brief recess was taken.)

6 CHAIRPERSON FROINES: Back to work. Can we  
7 begin, please.

8 PANEL MEMBER FUCALORO: Can we have the lights  
9 on, please. Is that a problem for anyone seeing that  
10 screen without the lights on?

11 CHAIRPERSON FROINES: Jim, Bill, we're going to  
12 start.

13 SUPERVISING TOXICOLOGIST MARTY: I don't know if  
14 anybody had comments on Table 1, which was the big ranking  
15 table that we put actually into the document with reasons  
16 for conducting the literature search and reasons for  
17 deferring?

18 It starts on page 8.

19 PANEL MEMBER GLANTZ: Yes. I had one. First,  
20 this is a great help in the report, but I think that it's  
21 very confusing to have several compounds appear on several  
22 of these lists. And so I think that the -- what I would  
23 suggest doing is having nonintersecting lists, where you  
24 would have your -- one table would be the five final  
25 compounds and another table would be your Tier 2 or what

1 we end with up as Tier 2, another one would be the, I  
2 think it was, the list of 35 this table here, table 20,  
3 but excluding the 11.

4           And then this table one would be the low priority  
5 ones, which would exclude the 35, because I just think  
6 right now it's a bit confusing to have things keep  
7 reappearing, but other than that, I thought it was much  
8 clearer than before.

9           SUPERVISING TOXICOLOGIST MARTY: The purposes of  
10 the tables are a little different, too. This is the  
11 initial ranking where we used ambient data and so forth  
12 not what's on your screen, but Table 1 in the document,  
13 the preliminary ranking and initial prioritization, so  
14 those are the chemicals -- I think we need to have the 11  
15 and 35 in this table also, because you need to know what  
16 the rankings were and what our reasons were for conducting  
17 a literature search, but we can create these other tables  
18 that we talked about before.

19           PANEL MEMBER GLANTZ: Well, so what --

20           DR. MARTY: We actually have created a table  
21 which you have in front of you as Table B. This was the  
22 list of the chemicals that fell out, because they didn't  
23 either have ambient data or we didn't have a quantitative  
24 handle on the toxicity. And then you folks asked us to  
25 add why, what was the reason for each one of those, so we

1 created this Table B, which you have in front of you.

2 PANEL MEMBER GLANTZ: Where is Table B?

3 PANEL MEMBER BLANC: It starts on -- it was  
4 handed out.

5 SUPERVISING TOXICOLOGIST MARTY: It was just  
6 handed out separately.

7 PANEL MEMBER FUCALORO: It looks like this.

8 PANEL MEMBER GLANTZ: Oh, okay.

9 SUPERVISING TOXICOLOGIST MARTY: So that's  
10 another table that, I think, Stan, actually you asked us  
11 to put that together.

12 PANEL MEMBER GLANTZ: Right.

13 SUPERVISING TOXICOLOGIST MARTY: I'm not sure  
14 that we want that in the document or not.

15 CHAIRPERSON FROINES: Which one is that, XX?

16 SUPERVISING TOXICOLOGIST MARTY: It's Table B.

17 PANEL MEMBER GLANTZ: Well, no, I think that  
18 should be in the document, because I just think it needs  
19 to be very clear as to what was considered and why of all  
20 the potential TACs that there were, you know, everything  
21 that could potentially be considered should be listed  
22 somewhere in the document so people can see that it was,  
23 in fact, thought about even if it was decided that it  
24 wasn't worth the Table B ones. So I would like to see  
25 this in the document.

1           So Table 1 includes all the stuff in Table B,  
2 too, no.

3           SUPERVISING TOXICOLOGIST MARTY: Table 1 includes  
4 the ranking of the chemicals that had ambient air data and  
5 the either RELs or potency factors or both. And also we  
6 added other chemicals that didn't have ambient air data  
7 because we were worried about the toxicity. The Table B  
8 is basically the 200 plus TACs minus all of those that  
9 ranked, so it's the ones that fell away in the very  
10 first --

11           PANEL MEMBER GLANTZ: So if you take Table 1 and  
12 Table B and put them together, that's all however many  
13 TACs there are?

14           SUPERVISING TOXICOLOGIST MARTY: That's right.

15           PANEL MEMBER GLANTZ: Okay.

16           PANEL MEMBER FUCALORO: Yeah, I have a question  
17 about Table 1, there's almost a correlation of one, not  
18 exactly for those substances that have ambient air  
19 concentrations that are printed in unbold type, that is to  
20 say it's typed -- it's obviously data from other than  
21 California, but some of them have very high ambient  
22 concentrations.

23           And in your reasons for deferred search --  
24 deferring the search, sometimes you just say low  
25 emissions, and yet there's a number that's pretty large in

1 the ambient air concentration. That's somewhat confusing,  
2 I think, and somehow that would have to be explained.

3           For example, Acrylonitril, number nine on your  
4 Table 1, has a. -- by my lights and I'm not an expert on  
5 this, it has .66 micrograms per cubic meter. And say low  
6 emissions, but yet it's a pretty high number.

7           SUPERVISING TOXICOLOGIST MARTY: It's low  
8 emissions in the California Air Toxics Hotspots Database.  
9 And those numbers came from a compilation that US EPA did  
10 of the measurements around the country.

11           PANEL MEMBER FUCALORO: No, that's understood. I  
12 gathered as much from the one footnote you have based on  
13 other numbers are from various sources as compiled by US  
14 EPA in 1993, which is old data, of course.

15           SUPERVISING TOXICOLOGIST MARTY: Right, and  
16 actually a lot of their compiled data are even much older  
17 than that.

18           PANEL MEMBER FUCALORO: Well, maybe a few words,  
19 I don't know, in the text, that explains why some of those  
20 things were eliminated.

21           You see, one of the problems I have in trying to  
22 understand how this priority list was developed is things  
23 like that, for example, you look at 1-2 dibromo, DBCP,  
24 3-chloropropane is eliminated, but yet arsenic and  
25 formaldehyde -- and you look at the ambient air

1 concentration is high, but really it's because it's not  
2 really high in California. Maybe that's the reason, and I  
3 think that ought to be made clear I think at least in the  
4 text, so that one can get a better handle on how you've  
5 actually compiled the list.

6 CHAIRPERSON FROINES: Tony, I'd almost argue that  
7 the Acrylonitril is a good example of a number that should  
8 not be even listed. Why list it? What's the purpose of  
9 it, because it's in --

10 PANEL MEMBER FUCALORO: You may be right.

11 CHAIRPERSON FROINES: You know, if we were in  
12 Delaware and we were near the Dupont Chamber Works that  
13 would be one thing, but we're not. And so the point is  
14 why list values that are nationally based data rather than  
15 California based data, which may have zero relevance to  
16 California?

17 PANEL MEMBER FUCALORO: I think you've cut it to  
18 the heart much quicker than I have. I think that's  
19 exactly right.

20 PANEL MEMBER BLANC: I think the solution to both  
21 of your comments would be to change the word "low  
22 emissions" to "low California emissions." If you just put  
23 that on the table, because, you know, in terms of  
24 transparency, I think it's good to include the numbers as  
25 long as you're making sure why it's not driving the

1 decision.

2 CHAIRPERSON FROINES: Well, I think there's one  
3 other issue that if Roger were here he would raise, which  
4 is there are compounds that come out of sources, say  
5 acrylonitrile from Dupont, but there are also atmospheric  
6 transformation products that may have relevance in  
7 California, even though the numbers come from outside of  
8 California, so that if that were the case, then you might  
9 want that in.

10 SUPERVISING TOXICOLOGIST MARTY: I think, you  
11 know, we have to keep going back to this is a  
12 prioritization process and we use data that we had that  
13 were available to us.

14 PANEL MEMBER FUCALORO: Yeah, but just be clear,  
15 that's all we're saying. And what Paul suggested "low  
16 California emissions" or even better "low California  
17 concentrations."

18 SUPERVISING TOXICOLOGIST MARTY: Well, I would  
19 hate to say that, because we don't know what the  
20 California concentrations are, so I don't want to --

21 PANEL MEMBER FUCALORO: Fair enough.

22 CHAIRPERSON FROINES: The point I think everybody  
23 is making it goes back to the transparency issue, is that  
24 any number that's in any table one should be able to  
25 understand it and not have to interpret it.

1           SUPERVISING TOXICOLOGIST MARTY: I can pull in  
2 more information from the compilation, which describes  
3 what they did, but even then it's hard to know how good  
4 that data is. We definitely weighted the California Air  
5 Resources Board's data more --

6           PANEL MEMBER FUCALORO: Sure, rightfully so.

7           SUPERVISING TOXICOLOGIST MARTY: -- because it's  
8 more representative of chronic exposures for one.

9           PANEL MEMBER FUCALORO: But, again, I'm not  
10 asking for me. I'm not asking for anything extensive,  
11 just make some little indication that these are -- that  
12 it's not "low California emissions" I understand that.

13          SUPERVISING TOXICOLOGIST MARTY: Okay.

14          CHAIRPERSON FROINES: Melanie, can I make one  
15 specific request? And it's really on behalf of Roger  
16 Atkinson. At the last meeting, Roger raised a number of  
17 questions about the ambient concentrations of acrolein in  
18 California and argued that the numbers were much lower  
19 than what had been previously estimated. I would  
20 appreciate you folks talking with Mike Port at ARB and try  
21 and come up with some reasonable estimate of what ARB  
22 thinks the acrolein concentrations, because this is an  
23 extremely important issue.

24          Acrolein is an extremely toxic chemical as we all  
25 know. And having some sense of what, to the degree that

1 we can, of what the realistic airborne concentrations  
2 would be, I think, is particularly useful.

3 SUPERVISING TOXICOLOGIST MARTY: Sure.

4 PANEL MEMBER BLANC: So we're still on Table 1.  
5 Are there any chemicals that appear on Table 1 which were  
6 deferred for literature search, which are capable of  
7 inducing methemoglobinemia.

8 CHAIRPERSON FROINES: Are capable of what?

9 PANEL MEMBER BLANC: Inducing Methemoglobinemia.

10 SUPERVISING TOXICOLOGIST MARTY: If we knew that  
11 they were capable of doing that, we would have flagged  
12 them, since that's an issue for us.

13 PANEL MEMBER BLANC: Even with low ambient  
14 levels?

15 SUPERVISING TOXICOLOGIST MARTY: Well, it would  
16 depend on what data we had, how good the data were, but we  
17 would be concerned about something that induced  
18 methemoglobinemia.

19 PANEL MEMBER BLANC: So can I make a special  
20 request that you have your toxicologist go back over that  
21 list and double check, because I'm not going to have the  
22 time to do that?

23 SUPERVISING TOXICOLOGIST MARTY: Sure, that's  
24 fine.

25 PANEL MEMBER FRIEDMAN: Why is that important?

1           PANEL MEMBER BLANC: Because infants are  
2 particularly susceptible to not being able to cope with  
3 methemoglobinemia, because they don't have developed  
4 Methemoglobin.

5           PANEL MEMBER FRIEDMAN: And what is the result to  
6 them of not being able to cope with it very well?

7           PANEL MEMBER BLANC: They could have hipoxic  
8 injury or hemolysis. The main issue for infants is in  
9 drinking water exposure to fertilizer runoff, but since  
10 the statute requires consideration of concomitant exposure  
11 with other routes of exposure.

12          PANEL MEMBER BYUS: Contaminated well water, too.

13          PANEL MEMBER BLANC: But usually from runoff, I  
14 suppose.

15          PANEL MEMBER BYUS: Coli makes the nitrates that  
16 also cause it.

17          CHAIRPERSON FROINES: What did you say?

18          PANEL MEMBER BLANC: Ecoli.

19          PANEL MEMBER BYUS: Is contaminated well water.

20          PANEL MEMBER BLANC: Then could you clarify  
21 something else, I know we discussed this at the last  
22 meeting, but I don't remember the answer for Methyl  
23 Bromide?

24          CHAIRPERSON FROINES: What number is it, Paul?

25          PANEL MEMBER BLANC: Number 78, which then makes

1 it into the literature review, although other things don't  
2 make it into the literature review because they're  
3 pesticides. So was there a nonpesticidal use of Methyl  
4 Bromide that was why?

5 SUPERVISING TOXICOLOGIST MARTY: Yes, that's why.

6 PANEL MEMBER BLANC: What is the nonpesticidal  
7 use?

8 DR. ALEXEEFF: George Alexeeff. It's a  
9 pesticidal use, but Methyl Bromide falls under a  
10 different -- there's another law which requires the air  
11 districts to permit or did require the air districts to  
12 permit fumigation chambers. So it fell under the Air  
13 Board's jurisdiction.

14 PANEL MEMBER BLANC: And is that other wise then  
15 excluded by the specific statutory language of this law  
16 which said that pesticides -- which reiterates? Could  
17 legal counsel comment?

18 OEHHA COUNSEL HECK: As I mentioned briefly  
19 before, it is clear that pesticides and their pesticidal  
20 use are excluded from the ranking process and the related  
21 processes that happen after that under SB 25. So if  
22 Methyl Bromide were to be examined, it would have to be in  
23 other than its pesticidal uses.

24 SUPERVISING TOXICOLOGIST MARTY: I think it's  
25 because it's emitted from a stationary source that it can

1 be evaluated, rather than its use on a farm or in a field.

2           OEHHA COUNSEL HECK: Well, to follow up on that,  
3 I think, Melanie is correct, there is -- one of the  
4 clarifying statements in the law is that the manufacturer  
5 of the pesticide is not the pesticidal use of that  
6 pesticide. In other words, it's fair game in this  
7 statute. So if that were the source of the emissions,  
8 that could be evaluated.

9           DR. ALEXEEFF: Actually, if you look at the  
10 statute states toxic air contaminants evaluated and listed  
11 pursuant to the section shall not include substances in  
12 those uses that are not subject to regulation by the State  
13 Board to this chapter.

14           It doesn't actually use the word pesticides, and  
15 Methyl Bromide as this unusual fumigation chamber, which  
16 are subject to regulation by the air districts, and that's  
17 why it falls under this. But general pesticidal use of  
18 most pesticides is not subject to the Air Boards. This is  
19 one exemption because of the fumigation chambers. We can  
20 look at that. Why don't we look at that. That's my  
21 understand. Why don't we look at that one and have the  
22 Air Board double check on that one.

23           PANEL MEMBER BLANC: It's certainly going to  
24 confuse -- it confuses me, so I suppose anybody reading  
25 this document who says okay, well I see pesticides are

1 dropping out in Table 2, and then there's Methyl Bromide,  
2 so there needs to be a footnote perhaps.

3           But then in light of the other statement, since  
4 there was not one single astacolon esterase inhibitor  
5 included in the literature review certainly. And actually  
6 I don't know if there are any in Table 2, which then drop  
7 out. There may be some that fall in the column of  
8 pesticides. Are none of those pesticides manufactured in  
9 California for which there might be hotspot releases?

10           SUPERVISING TOXICOLOGIST MARTY: I don't know.  
11 We don't have that information from the Air Board.

12           CHAIRPERSON FROINES: There is a company in  
13 southern California that does manufacturer pesticides or  
14 did because we used to take students to it to show them  
15 pesticide manufacture. So I can give you the name of the  
16 company. I don't remember it off the top of my head, but  
17 there was not too many years ago.

18           PANEL MEMBER BLANC: Well, I think it would be  
19 useful to have some sentences somewhere in the document,  
20 perhaps, which say the following organophosphate  
21 pesticides are manufactured in California and we may have  
22 to return to hotspot emissions for them even though  
23 they're not included in this document. Perhaps in the  
24 same paragraph wherein you say, in general, we have not  
25 looked at pesticides because we're prohibited in their

1   pesticidal use.  However, their manufacturing would be  
2   covered, but we haven't addressed it, but we will address  
3   it.  And in that same paragraph perhaps you can then talk  
4   about Methyl Bromide.

5               SUPERVISING TOXICOLOGIST MARTY:  Sure.

6               CHAIRPERSON FROINES:  This issue raises a  
7   question, which is if Methyl Bromide is one of the  
8   compounds that can be considered because of this special  
9   fumigation chamber issue, does that mean that by your  
10  evaluation it ranked 78th?  Because Methyl Bromide  
11  talks -- I mean if I had to choose between glycol ethers  
12  and Methyl Bromide, I think I'd choose Methyl Bromide in  
13  some respects.

14              SUPERVISING TOXICOLOGIST MARTY:  We couldn't rank  
15  it, because we didn't have concentration data.  But, you  
16  know, I would ignore that -- I wish we could -- their  
17  ranking numbers are not as meaningful as you would like  
18  them to be.  Because of all of the data gaps, is issue of  
19  bringing in other information on emissions from stationary  
20  sources and the toxicological considerations, it's  
21  difficult to just say this chemical is number 80 and that  
22  chemical is number 59.

23              CHAIRPERSON FROINES:  But one of the things that  
24  we keep pressing you on is this notion of transparency.  
25  And when you end up with up with statements like that,

1 means that anybody who's reading the document, it  
2 obviously leads to some level of confusion. If you have  
3 something that says 78, but you say it doesn't matter,  
4 then how do we understand it?

5           SUPERVISING TOXICOLOGIST MARTY: It matters only  
6 if you had the information to rank the chemical to begin  
7 with and only if there is no other reason to be concerned  
8 about that chemical, i.e. from stationary source emissions  
9 or because you know it's a developmental toxicant.

10           CHAIRPERSON FROINES: Well, then would it be  
11 better just to have an alphabetical list rather than put  
12 it with a ranked number?

13           SUPERVISING TOXICOLOGIST MARTY: We can do that.  
14 We can alphabetize it.

15           PANEL MEMBER GLANTZ: Yeah, I think that would  
16 make a lot more sense given the way the process went. And  
17 see if you did that, then, I mean, what you could do -- I  
18 keep wanting to break -- have things not appear in  
19 multiple tables, see then you've got your -- as I figured  
20 it out, finally, the Table 20 your XX is all of the stuff  
21 in Table 1, which has an entry under reasons for  
22 conducting literature search. I finally figured that out.

23           And so then what you could do is you could have  
24 one table, which is all the stuff that you've deferred in  
25 alphabetical order, and then Table 20 would be all of the

1 things where you have conducted a focus literature search.  
2 And what you could do, at that point, is maybe even  
3 combine the information that's in Table 1 and the  
4 information that's in table 20 for those compounds, and I  
5 think that would also be less confusing.

6 PANEL MEMBER FUCALORO: Well --

7 PANEL MEMBER GLANTZ: And then it becomes clear  
8 as to why you did what you did, because you didn't -- you  
9 know, as I've come to understand the process, you didn't  
10 really much use these numerical rankings in the end. And  
11 so, I mean, you sort of use them a little bit, but in the  
12 end what happened was you identified those things where  
13 there was a reasonable justification for doing the  
14 literature search. And, you know, and not a good reason  
15 not to do it, you know, like no emissions in California or  
16 something and so that separates them, I think, much more  
17 clearly.

18 DR. MARTY: Okay.

19 PANEL MEMBER GLANTZ: And then the 11 that you  
20 ended up with in your Tier 1 and Tier 2, those things  
21 really came out of the more focused literature reviews  
22 rather than this arithmetic ranking.

23 SUPERVISING TOXICOLOGIST MARTY: Yes.

24 PANEL MEMBER GLANTZ: So given that that's the  
25 case, I just think it would be much clearer to get rid of

1 the numerical rankings.

2 CHAIRPERSON FROINES: I'm getting nervous about  
3 time, because we have six chemicals to go through today,  
4 and we're spending -- all of this the highly relevant, but  
5 it also is something that, I think, we should get passed.

6 So I think Paul had some specific questions to  
7 raise, but then I think we should move as quickly as we  
8 can to the actual substances of concern.

9 SUPERVISING TOXICOLOGIST MARTY: Okay. Paul, had  
10 questions on table 20, that's the information that we  
11 developed for the panel in response to their request four  
12 of the 35, why did some end up in the 11 and some didn't,  
13 so that's why we developed this table. And it is  
14 alphabetical, and we took away the numerical noncancer and  
15 cancer rankings and put them into bins of low, medium  
16 moderate. I should say not medium, low, moderate,  
17 moderately high and high.

18 PANEL MEMBER BLANC: Okay. So let me first say  
19 that I think it is important to have a table like this,  
20 and I don't have a fundamental problem with the structure  
21 of the table, but I have to say that the content of the  
22 table, to the extent that I was able to cross check  
23 information, I found deeply disturbing, and suggested to  
24 me strongly that your literature reviews were either two  
25 possibilities, one is that your literature reviews were,

1 in certain cases, terribly flawed or else the  
2 interpretation of the literatures reviews by OEHHA somehow  
3 short-circuited. I don't think the latter the probably  
4 the case and you have admitted the understandable  
5 challenges of the time crunch.

6           So I'm going to take some examples. They were  
7 things that I was most suspicious of and most concerned  
8 with. So they may be the worst case scenarios, but  
9 nonetheless they're so disturbing, that I think there has  
10 to be some real content addressed here on the part of  
11 OEHHA and senior staff.

12           So let's start with carbon disulfide. What it  
13 says here is the evidence for concern is a transient delay  
14 in behavioral development among young animals siting in  
15 1980 study, that I'm going the leave aside the cancer  
16 ratings. That's not the issue.

17           Inadequate data. "No studies directly addressing  
18 age-related susceptibility."

19           Here's a study from 1987, Metabolism and  
20 Distribution of Label Carbon Disulfide in Immature Rats at  
21 Different Ages.

22           This study demonstrates clearly that young rats  
23 metabolize the material differently and more slowly,  
24 therefore have higher or more persistent levels. Last  
25 sentence of the abstract, "The rats showed that

1 elimination of the biotransformation products of SC2, in  
2 particular, the covalent binding of sulfur metabolize was  
3 prolonged in new-born rats in comparison the 40-day old  
4 rats."

5           Now, it may be that you didn't feel that this  
6 study, you know, rose to the level of supporting concern,  
7 but given the fact that most of the time you were saying  
8 there was no study at all. I mean, this ipso facto is  
9 enough to make you want it included among the 11, I would  
10 say, or in your final group.

11           SUPERVISING TOXICOLOGIST MARTY: Well, it  
12 certainly made us want to include it the 35.

13           PANEL MEMBER BLANC: Well, it's not cited in the  
14 table in either place and yet this the -- and in the table  
15 it says, "No studies directly addressing age-related  
16 susceptibility." This the a study which directly  
17 addresses age-related susceptibility, and, in fact,  
18 confirms that there is likely to be age-related  
19 susceptibility.

20           And if you're asking me as a scientist to review  
21 your document and approve it, when, in fact, there's  
22 something which is so scientifically inadequate and  
23 inaccurate, it's extremely concerning to me, because I  
24 don't know where else there are similar errors. So on the  
25 one hand the demand of making the table, puts you in a

1 certain vulnerability because it means that you're going  
2 to have to say things that you can stick by.

3 But I have no way of knowing that you looked at  
4 this and this the not what you mean by that statement or  
5 did you never see this study?

6 SUPERVISING TOXICOLOGIST MARTY: Okay, I would  
7 have to ask the staff people that looked at CS2, but yeah,  
8 I didn't realize we said no studies. I don't if they  
9 meant no studies in humans or no studies looking at the  
10 toxicity where you had young animals versus older animals.

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
12 SALMON: I think the entry in the table specifically  
13 addresses the or is designed specifically to address the  
14 toxicological endpoints, rather than the metabolism or the  
15 biomarkers for that effect, but I agree that perhaps in  
16 this case there may have been less detail than this  
17 finding deserved. I don't know.

18 PANEL MEMBER BLANC: Well, given the level of  
19 evidence that you lack for most things, which was your  
20 rationale for not moving them into the final category,  
21 which I think is reasonable, this kind of evidence which  
22 is, you know, sort of as clear cut as you can get that  
23 there is a preferential susceptibility on a biokinetic  
24 basis, which you spend a great deal of time in your  
25 general introduction saying it's the reason that the, you

1 know, a difference between younger versus older animals,  
2 since we don't have it generally in humans.

3           And then you have a study where this chemical has  
4 been tested and it has been shown. I'm really at a loss  
5 as to then why it wouldn't be in your final group. You  
6 know, it's a very widespread ambient chemical. You know  
7 that it has neurotoxic. I mean it's a, b, c, d, e. It's  
8 met everyone of your criteria.

9           SUPERVISING TOXICOLOGIST MARTY: Let's go back to  
10 the fact that we can only pick five.

11           PANEL MEMBER BLANC: I'm talking about the 11.  
12 We're going to get to the five later on. I'm talking  
13 about --

14           SUPERVISING TOXICOLOGIST MARTY: Even the 11 we  
15 had --

16           PANEL MEMBER BLANC: Who said?

17           SUPERVISING TOXICOLOGIST MARTY: -- heavily  
18 weighted to toxicology information. So if you look at  
19 CS2, what kinds of data do you have on developmental  
20 effects? It really isn't very much, even though as you're  
21 pointing out there's a good mechanistic reason why you  
22 would expect that compound to be worse in young animals.

23           So it's not that we ignored it or that we don't  
24 think it's important, it's that we think that for these  
25 other compounds we actually have stronger and more

1 studies.

2           PANEL MEMBER BLANC: But we don't see, as a  
3 panel, your literature reviews on anything except for the  
4 11. So you're asking us to accept, and that's why we  
5 asked for Table XX. And then you give us Table XX, which  
6 is fatally flawed, what am I supposed to do as a scientist  
7 in my role as a reviewer of the scientific validity of  
8 your document?

9           PANEL MEMBER FUCALORO: Let me ask a question,  
10 just for a minute and it's related to this.

11           The paper he cited seemed to be relevant to me.

12           DR. MARTY: Yes.

13           PANEL MEMBER FUCALORO: And my question simply is  
14 were you aware of this paper?

15           SUPERVISING TOXICOLOGIST MARTY: I was not  
16 personally aware of this paper.

17           PANEL MEMBER FUCALORO: Was the reviewer aware of  
18 this paper, I mean isn't that what you're getting at?

19           MR. LEWIS: Which paper was that you were saying?

20           PANEL MEMBER BLANC: It is Drug Metabolism Debt  
21 Disposition 1987?

22           PANEL MEMBER GLANTZ: I think the reporter wants  
23 your name.

24           MR. LEWIS: David Lewis, OEHHA.

25           PANEL MEMBER BLANC: Was that in your list?

1 MR. LEWIS: I don't believe -- you know, I don't  
2 believe it was.

3 PANEL MEMBER BLANC: Okay. How about Zhaosf,  
4 Z-h-a-o-s-f, et al, The Evaluation of Developmental  
5 Toxicity of Chemicals Exposed Occupationally Using Whole  
6 Embryo Cultures, International Journal of Developmental  
7 Biology, 1997. Is that a reference that sounds familiar  
8 for carbon disulfide?

9 CHAIRPERSON FROINES: Why don't you say what it  
10 shows, Paul?

11 BOARD MEMBER BLANC: Also, it's not as, you know,  
12 convincing a study, but it also does show some invitro  
13 evidence that there were developmental effects from carbon  
14 disulfide. Invitro studies showed that, blah, blah, blah  
15 while carbon disulfide, 1-2 dichloroethane and vinyl  
16 chloride mainly induced embryo growth retardation.

17 SUPERVISING TOXICOLOGIST MARTY: Well, we could  
18 get those studies and take another look, but you have to  
19 realize it's going to have to overshadow the data that are  
20 available for the other chemicals.

21 PANEL MEMBER BLANC: I'm raising a fundamental  
22 question about the quality of the hired out literature  
23 reviews that you had for certain chemicals. If I can go  
24 on to MedLine and in, you know, an hour or two of work of  
25 things that I'm particular suspicious of, I grant you,

1 find a series of citations which are inconsistent with  
2 your table, and which also make me wonder, well, how did  
3 this chemical not make it to the final group, and I don't  
4 have the documents to then cross check against, because  
5 we're not supplied because they dropped out, it puts me in  
6 an incredible double bind.

7 MR. LEWIS: Well, I think my overall impression  
8 of the human and animal data, as a whole was that effects  
9 were seen at approximately similar levels. You know,  
10 You're raising these metabolic studies that seem are  
11 interesting and I --

12 PANEL MEMBER BLANC: Well, I'd be happy to give  
13 them to you.

14 PANEL MEMBER BYUS: Just a general comment. It  
15 addresses the same point. I mean, I was struck by kind of  
16 a very significant review of the pharmicokinetic,  
17 toxicokinetic differences, and then also the differential.  
18 And neither exposure parameters or any toxicokinetic  
19 differences are listed in your table at all. I just look  
20 it over again.

21 None of those two criteria, which speak to the  
22 relative amount of exposure and/or internal dose are  
23 mentioned in this table. You steal almost exclusively  
24 with the toxicology endpoints, which is, I suppose -- well  
25 I don't know whether it is okay. But you don't mention

1 any of those other two parameters whatsoever.

2 I mean, I would have -- when I got this table, my  
3 thinking was, I think it is much better that we have this  
4 table than when we didn't have the table, but I would have  
5 divided it up into the three different areas of exposure  
6 differences, toxicokinetic differences and then, what I  
7 would call, farmico dynamic or toxico dynamic differences  
8 that address susceptibility either developmental or  
9 neurological or whatever.

10 So, I mean, what he's saying is he just happened  
11 to pick out now a difference at the level of metabolism or  
12 toxicokinetics, but there's no references to any of those  
13 two parameters in the table.

14 SUPERVISING TOXICOLOGIST MARTY: Well, we did  
15 weight direct toxicology studies heavily, especially in  
16 this first iteration, where we have to come up with up to  
17 5. I mean, it's not to say that we're ignoring all the  
18 other information or that we're not going to consider it  
19 when we update the list, which we are allowed the do under  
20 law and actually required to do under law.

21 But for this first go round, we heavily weighted  
22 studied where there was direct toxicology information.

23 PANEL MEMBER FUCALORO: But you see the problem  
24 that now I have, that Dr. Blanc had before, but now he's  
25 an expert in this area. And we all rely on each other's

1 expertise on these sorts of things. And he's cited now  
2 two papers that have been overlooked. And this causes him  
3 some concern and I must admit it spills over to me quite a  
4 bit. We want to be confident that when it says a  
5 literature search has been done, it's relatively  
6 exhaustive and inclusive. And now I'm feeling less  
7 confident that that's happened. And I think that's the  
8 point he's making.

9           And the other issue is how much do you include in  
10 the little box. I understand that, and that we can argue  
11 about, but that's not as fundamental as the question or  
12 the issue presented to us by Dr. Blanc.

13           SUPERVISING TOXICOLOGIST MARTY: Yes, I would  
14 agree that that is disconcerting that our lit reviewers  
15 did not pick those studies out. However, I still think  
16 that people need to realize we focused heavily on where we  
17 actually had toxicology studies that looked at either  
18 young animals or humans.

19           PANEL MEMBER BLANC: Well, if you did, then isn't  
20 all the more an indictment that your literature review  
21 didn't meet -- I mean, we're not talking about when you do  
22 a focused literature review, in fact, you're really not  
23 talk about that many papers.

24           SUPERVISING TOXICOLOGIST MARTY: Right.

25           PANEL MEMBER BLANC: So therefore why weren't

1 these two included, out of, you know, I don't know how  
2 many papers the person who you hired to do the literature  
3 review actually found that were on point 5, 3.

4           You know, I mean I'm not talking about general  
5 review of carbon disulfide toxicity.

6           Now, I'm going to go on to another example  
7 manganese. What your table says is, "Neonate may be more  
8 at risk because intestinal absorption is higher excretion  
9 mechanism is absent, causing manganese to accumulate in  
10 brain tissue." Then it says reason for lower and this is  
11 why it didn't make it into the next cut. "Adult workers  
12 exposed to manganese showed neurologic effects, but there  
13 are no studies in children." Of course there are no  
14 studies in children.

15           "Children with learning disabilities have been  
16 shown to have higher manganese levels in their hair. The  
17 weak evidence, hard to interpret."

18           Okay, so here's a paper from the Journal of  
19 Applied Toxicology 2000. Neurotoxicity of manganese  
20 chloride in neonatal, on adult CD rats following  
21 subchronic 21 high dose oral exposure. Now that would  
22 seem to be a paper that would be pretty much on point.  
23 The purpose of this study was to evaluate the relative  
24 sensitivity of neonatal adult CD rats to manganese induced  
25 neurotoxicity.

1           Now, there's a series of different findings.  
2 That's not a slam dunk study, but I will read you the  
3 final line of the abstract. "The results of our  
4 experiment suggest that neonates may be at greater risk  
5 for manganese induced neurotoxicity when compared to  
6 adults receiving similar high or oral levels of  
7 manganese." Is that a paper which you reviewed?

8           SUPERVISING TOXICOLOGIST MARTY: It would depend  
9 when in 2000 it came out, because now we're a year past  
10 when we started to get the literature searches done.

11          DR. MORRY: David Morry, OEHHA. I didn't bring  
12 all the manganese papers with me, but that sounds familiar  
13 so I think we did see that paper.

14          PANEL MEMBER BLANC: Well, I think in fairness to  
15 the committee, if you did I would certainly put it ahead  
16 of the 1997 sort of weak inferential paper that you -- the  
17 '87 paper. Here you have a very recent animal study, you  
18 know, by established criteria, which is very strongly  
19 indicative of a preferential effect.

20          SUPERVISING TOXICOLOGIST MARTY: We can add that  
21 to the table. That's not a problem.

22          PANEL MEMBER BLANC: That's at a minimum. We're  
23 going to come back to what needs to be in the final cut or  
24 not, but I'm saying at a minimum. I mean, I'll really  
25 angry about this. I'm not happy at all, because you're

1 asking me to put my name on the scientific approval of  
2 something which is inappropriate, from what I can tell.

3 DR. MORRY: We also wrote summaries for each of  
4 these chemicals. And the information you're talking about  
5 is probably in the summary.

6 PANEL MEMBER BLANC: Which is where?

7 SUPERVISING TOXICOLOGIST MARTY: Well, we didn't  
8 provide summaries of all 35. We only provided summaries  
9 of the 11.

10 PANEL MEMBER BLANC: Well, that's what I'm  
11 saying, and I have been saying.

12 Now, there's another study, which is not quite as  
13 strong, but nonetheless is relevant. It's a 1997  
14 publication, so it's also more recent than anything cited  
15 in the table, which is by Papas.

16 And that study shows portical thinning in young  
17 rats. I believe it's young rats right from -- well,  
18 actually, it's a fetal exposure, because it's from  
19 conception to post-natal day 30, so it includes both in  
20 utero and then young rats. And it shows some negative  
21 findings, but it does show portical thinning, which the  
22 authors interpret as being an important marker of  
23 exposure. Now that's not a head on versus adults, but it  
24 certainly is a study of neonates.

25 SUPERVISING TOXICOLOGIST MARTY: We apparently

1 didn't look at that study.

2 PANEL MEMBER BLANC: Okay. Then let's go on. I  
3 have to answer, sorry, a page that I got.

4 Well, actually let me take a break and let other  
5 people talk and let me answer a page.

6 CHAIRPERSON FROINES: Well, I think --

7 PANEL MEMBER BLANC: Because I have another  
8 chemical to go on. I'll be right back.

9 CHAIRPERSON FROINES: The problem with Paul  
10 walking out At this point is I think we're ready to go on  
11 to the other chemicals unless others have comments at this  
12 point?

13 Oh, melanie, why don't --

14 PANEL MEMBER FUCALORO: Why don't we -- I  
15 don't -- he can go and continue this what he's doing and  
16 point out some papers that maybe we missed. How are we  
17 going to feel confident that the literature search was  
18 complete? Are we going to get something like this, again,  
19 with a list of references for each chemical? I mean, I  
20 don't know. What the mechanism --

21 CHAIRPERSON FROINES: I think there's a question.  
22 Well, there's a very difficult question that this raises,  
23 because we know we have a July 1st deadline for this list  
24 of five. And I think that, at this point, I may be wrong  
25 to say this, but at this point I think this panel is going

1 to have trouble signing off on where we reach, wherever  
2 that may be given the level of uncertainty.

3           So we have a problem that's actually related to  
4 OEHHA's problem and they're obviously connected. But  
5 we're going to have some questions about how we proceed  
6 because, as Paul says, I don't, at this point, I don't  
7 know how comfortable people will be signing off on some  
8 document that says I'm comfortable with the materials that  
9 have been developed. I don't know how you feel at this  
10 point.

11           PANEL MEMBER WITSCHI:       Lousy.

12           PANEL MEMBER GLANTZ: Well, I mean, I think that  
13 the issues that are being raised are -- I mean, they are  
14 not insoluble. And it may be -- I don't want to be stoned  
15 for saying this, but I mean we may have to have another  
16 meeting, you know, to -- I mean, I think that the issues  
17 that are being raised are pretty concrete. I think that  
18 the document is getting better fairly quickly, but I also  
19 think there are still these unresolved issues. And it may  
20 be that we'll have to finish this and, you know, give  
21 OEHHA a chance to drink more coffee and stay up late at  
22 night some more and hopefully these issues can be  
23 resolved.

24           I mean July is like -- it's you know, it's a  
25 while. It's soon, but it's not tomorrow.

1           CHAIRPERSON FROINES: Well, I think the  
2 problem we have is we're going to have a discussion at  
3 some point, this afternoon hopefully, about the list of  
4 chemicals on the 11. And people are going to judge the  
5 level of information that they have provided. What Paul's  
6 point is bringing up is the question is, are there things  
7 in the list of 11 that were missing? But we can have a  
8 discussion about the list of 11, recognizing what we have  
9 here.

10           PANEL MEMBER GLANTZ: Right, and we could also  
11 have a -- we're not limited to only talking about those  
12 11. I mean I think if there are others which ought to be,  
13 you know, seriously discussed, then we can discuss those  
14 too. And it may just be -- I mean, one other question  
15 that we might want to think about is what the law requires  
16 is five. And maybe we should have a list of five and  
17 then other.

18           You know, we have basically, we've gone through  
19 this iterative process, and there's the list. There  
20 doesn't seem to be a lot of controversy between the list  
21 of 35 and the rest, that people seem reasonably  
22 comfortable with.

23           And so the so-called list of 11 is drawn from the  
24 list of 35. And maybe what we ought to be doing is come  
25 up with a list of five and then the other 30 and leave out

1 the Tier 2, because I think that there's nothing that  
2 requires us to have a Tier 2 right now. The law  
3 explicitly says that they'll be a continuing review, and  
4 then some of these issues become less sharp, you know.

5           And then we don't have to argue about whether  
6 they're in the list of 11 or not 11. I mean the law says  
7 there have to be five, and we can have those five and the  
8 other ones which seem to be of reasonably high priority  
9 for further discussion later. And that maybe one way.

10           Then the argument is what should the five be,  
11 that's really the important question.

12           CHAIRPERSON FROINES: Were you going to say  
13 something, Gary?

14           PANEL MEMBER FRIEDMAN: No.

15           CHAIRPERSON FROINES: I think that I basically  
16 agree with everything you said. I think that the question  
17 will be will we feel comfortable signing off on a  
18 transmittal letter that says that the reviews that we've  
19 received of the five we ultimately select that we're  
20 comfortable with, so that's just a decision what we'll  
21 have to make.

22           PANEL MEMBER GLANTZ: Yeah, and we may or may not  
23 be able to do that at the end of today, but I still think  
24 we could -- I think that it will be possible to do it by  
25 July.

1 DR. ALEXEEFF: Just one comment, you know the  
2 July 1 deadline is a deadline for OEHHA, okay. And your  
3 responsibility is to make sure you're comfortable with the  
4 list that we've come up with, so if you're not comfortable  
5 with it, you don't sign off on it, whether it's July or  
6 August or whatever month it is.

7 So we have to wait until you feel that we've  
8 brought all the scientific information before you. And  
9 the fact that the list is not adopted pretty much falls on  
10 us, our department, and, you know, it's our fault or  
11 whatever, so that's --

12 CHAIRPERSON FROINES: But your.

13 DR. ALEXEEFF: Sure we'd like to meet the July 1  
14 goal.

15 CHAIRPERSON FROINES: I mean, I think that this  
16 panel will be very uncomfortable when July 1 comes up with  
17 a list of five.

18 DR. ALEXEEFF: I can assure you the Director will  
19 not adopt the list if you haven't signed off on it yet.

20 PANEL MEMBER GLANTZ: Well, I think Paul has now  
21 returned and we should return the floor back to him.

22 DR. ALEXEEFF: So all I'm saying is if you are  
23 not ready, let's say, by the next meeting to sign off,  
24 then we wait until the following meeting to sign off.

25 I mean that's --

1 CHAIRPERSON FROINES: I think --

2 DR. ALEXEEFF: And the Director won't adopt it  
3 until the panel feels that they've had sufficient review.

4 CHAIRPERSON FROINES: We hear that. I'm simply  
5 trying to make clear what are the procedural questions  
6 that we have to think about. Paul, can go back to the  
7 specifics, but we're going to have -- I want to make sure  
8 what issues we need to be thinking about as we go forward.

9 Paul, go ahead.

10 PANEL MEMBER BLANC: Well, I'm going to bring up  
11 one more example. And, again, this is meant to be  
12 exhaustive, but these are the ones that I thought were the  
13 most --

14 CHAIRPERSON FROINES: Paul, can I interrupt you,  
15 there is one question that I don't know quite how we're  
16 going to resolve it. But, for example, is the use of  
17 whether manganese should now move up to the list of 11 and  
18 becomes a list of 12 from which five are chosen, that's a  
19 separate and important issue we've haven't talked about  
20 yet.

21 So go ahead.

22 PANEL MEMBER BLANC: Stan, made a suggestion and  
23 I think we should come back to that discussion. But let  
24 me just take one more example and then may be out of that.  
25 In terms of methylene chloride, which is on page nine, the

1 Evidence of differential effects decide it is a Marginal  
2 effect on spontaneous abortions and occupationally exposed  
3 women."

4           So, again, presenting sort of very -- we're only  
5 looking at this to because there's sort of this very  
6 marginal reason. But then the reason for giving it a  
7 lower priority, there is no data on developmental effects  
8 in children. By that I guess you mean there's no data in  
9 human children, which there isn't for anything virtually  
10 that you have, so that's not really an issue.

11           Negative studies. Now this would be a lot more  
12 convincing. There's a series of negative studies, you're  
13 saying. It's been looked at. We have negative studies.  
14 "No effect on birth weights, Bell et al. While exposure  
15 to pregnant rats to CO results in higher CO in the fetal  
16 blood, exposure to methylene chloride results in  
17 equivalent CO in maternal and fetal blood."

18           So I thought that was interesting, okay, here's a  
19 study of, you know, fetal transplacental exposure, so I  
20 pulled the paper to look at it. Now, what the paper --  
21 it's a very brief paper, but still it's on point. So what  
22 it shows is in its two-line table that when the maternal  
23 animals were given 500 parts per million of dichloro  
24 methane. They had 8 parts per million of dichloro methane  
25 of 176. And the fetal levels we dichloro methane were

1 115. So there were lower levels of dichloro methane in  
2 the fetus.

3           But, in fact, the carbon monoxide levels were the  
4 same 167 and 160, virtually the same statistically not  
5 differentiable, although there was a wider variability,  
6 which is of interest in the fetus, so some of the fetuses  
7 clearly Got up to much higher levels in fact than the  
8 maternal. So we don't have all the data, but the Standard  
9 deviation for maternal is 12 and the Standard deviation  
10 for the fetal is 31. So that it means that even within  
11 the 95 percent confidence interval some of the fetal  
12 animals had levels that were considerably higher.

13           This is in parts per million of carbon monoxide  
14 not as a percent of carboxy hemoglobin. So it's a little  
15 tricky to fully get, but I'm assuming that it would  
16 parallel carboxy hemoglobin. I would have sort of a  
17 completely opposite interpretation then of these findings,  
18 because we know the fetal hemoglobin binds carboxy  
19 hemoglobin more tightly than adult hemoglobin. So  
20 therefore having -- even if they were the same level, it  
21 would be worse for the fetus, and, therefore, be it the  
22 developmental toxicity.

23           So my interpretation of the study is quite  
24 different than OEHHA's apparent interpretation of the  
25 study which may simply be OEHHA swallowing whatever the

1 hired gun said.

2           The second study that I thought was relevant, you  
3 know, was a study which showed behavioral toxicity in the  
4 offspring of rats while in the maternal exposure to  
5 dichloro methane, which is from Toxicology and Applied  
6 Pharmacology from 1980, so it's an old study, was coupled  
7 with a publication from the same group in the same Journal  
8 issue where they showed that it wasn't a teratogen, but  
9 they did show this behavioral toxicity, which they felt  
10 was probably related to carboxy hemoglobin production. So  
11 I thought it was quite relevant. I don't know whether it  
12 was included in your literature review.

13           By the way, the last paragraph of the first paper  
14 reads, "The finding of elevated fetal carbon monoxide  
15 concentrations in pregnant rats exposed to dichloro  
16 methane argues that pregnant women should avoid exposure  
17 to dichloro methane, which is used industrially in various  
18 processes and in the home as a pain remover is because  
19 maternal carbon monoxide exposure decreased oxygenation of  
20 the fetus and chronic low level maternal exposure to  
21 carbon monoxide may adversely affect fetal growth and  
22 development."

23           So those were the three that I, you know, spent  
24 time going through, you know, the major medical computer  
25 database. But I don't know what would have happened if

1 I'd spent another couple of days going through the rest of  
2 the things on this list. And it leaves me in a quandary  
3 as to how to proceed, you know, appropriately with the  
4 data on Table XX.

5 I mean, there are other things that I think --  
6 but, in general, there seems to be a tendency to either  
7 stack the deck with very weak evidence of the things that  
8 you want to make the argument for discarding in the first  
9 column and then having sort of a different standard for  
10 what, you know, the lower priority reasons are in the last  
11 column.

12 SUPERVISING TOXICOLOGIST MARTY: Well I can  
13 assure you we weren't trying to stack anybody's decks.  
14 You know, all I can say is I'll take the papers and bring  
15 them back to staff and we can rediscuss these three  
16 chemicals and take another look at the data for the other  
17 30 something.

18 PANEL MEMBER BLANC: Well, without naming names,  
19 can you tell me were these three reviews done by the same  
20 consultant?

21 SUPERVISING TOXICOLOGIST MARTY: I'd have to look  
22 it up.

23 I don't think so actually.

24 CHAIRPERSON FROINES: I'd actually think that  
25 these comments are reflective of a larger problem, which

1 is that the document that we had had literature reviews of  
2 the toxicity of the compounds. And I felt for a long time  
3 not sufficient attention to the differential issue. And I  
4 think this is like another example of that, so I think  
5 that, in a sense, your consultants sort of wrote  
6 literature reviews, but didn't give adequate attention to  
7 the specific question, because the literature reviews that  
8 we thought all were of the whole toxicity of the  
9 compounds.

10           So, for example, on diesel we get to see the TAC  
11 process over again and the industry comments. And so, in  
12 a sense -- the point's made.

13           Gary.

14           PANEL MEMBER FRIEDMAN: I think you in view of  
15 what Paul was brought up, we're going to need some kind of  
16 evidence of quality control on the literature review,  
17 either the staff, you know, sampled and for each of the  
18 vendors that did this, you know, and did some of the stuff  
19 that Paul did with going back to MedLine and looking for  
20 other papers or some kind of duplication or validation of  
21 what was done. I won't feel comfortable unless I see some  
22 evidence of that.

23           SUPERVISING TOXICOLOGIST MARTY: Well, how about  
24 if we just come back to the panel, and we can't do this in  
25 two weeks obviously, with a summary on all 35 of the ones

1 that we chose for literature reviews? It shoots the  
2 deadline, but --

3 CHAIRPERSON FROINES: Paul, how much time did you  
4 put in would you say?

5 PANEL MEMBER BLANC: Four hours.

6 PANEL MEMBER FRIEDMAN: But, I mean, I still  
7 won't know whether the literature review was complete.

8 SUPERVISING TOXICOLOGIST MARTY: Well, we can  
9 update the literature reviews ourselves, and staff were  
10 doing some double checking. And we actually added in  
11 stuff that we found that the reviewers had not found, but  
12 we can just start again and come back with the summaries  
13 of 35.

14 PANEL MEMBER BYUS: Did you provide your  
15 people -- I mean, I had the same feeling that you just  
16 said the reviews are more of the general toxicology and  
17 didn't focus on the differential issues. I mean, it's all  
18 through here rambles around. And you have to try and  
19 extract the differential issues out of it. And that's  
20 really -- did you give them very specific query, do this,  
21 do that, don't do this, do the next thing, because I think  
22 I'm sure you did --

23 SUPERVISING TOXICOLOGIST MARTY: We told them  
24 what we were trying to do. We didn't go as far as saying  
25 use these key words please.

1           PANEL MEMBER GLANTZ: Well, I think one question  
2 is do we want to see all 35 of the reviews or would --  
3 because I worry that that's going to just drag on  
4 interminably and in the end not really address the point.  
5 I mean, is there a way to, you know, further wonderfulize  
6 Table XX, you know, focusing narrowly on the questions,  
7 you know, of differential susceptibility, you know, to go  
8 back through your -- the 35 reviews and maybe do some  
9 checking of the nature that Paul did?

10           SUPERVISING TOXICOLOGIST MARTY: It would be a  
11 pretty big table.

12           PANEL MEMBER GLANTZ: Well, that's okay.

13           CHAIRPERSON FROINES: But let's focus the  
14 question better than that, because it seems to me that one  
15 question has to do with -- Paul has raised questions about  
16 three very important chemicals. This is dimethyl sulfate  
17 or something. These are three -- methylene chloride, for  
18 example, is really very widely use, as we all know, and  
19 we've been through a TAC process on it.

20           And I would argue that we're going to get a  
21 presentation today on non-coplanar PCB's. And I can give  
22 you my impression very quickly as to whether or not I want  
23 to spend any time on that if there is sufficient evidence  
24 on manganese or methylene chloride that they should be in  
25 the list, because non-coplanar PCB simply is not a major

1 public health issue in California, as far as I know  
2 anyway.

3           And so part of the problem, Stan, comes not just  
4 about whether or not we have 35 better literature reviews,  
5 but what should be on the list.

6           PANEL MEMBER GLANTZ: Well, no, but obviously the  
7 purpose of doing this is to make that decision.

8           CHAIRPERSON FROINES: Well, somehow, I don't know  
9 how to proceed on this. This is really quite very  
10 difficult.

11           PANEL MEMBER BLANC: Well, I mean, I think that  
12 one -- Melanie, I think that one middle ground would be,  
13 and this is a direction I was headed at our last meeting,  
14 and it was not clear to me from the revised -- from this  
15 revision that, in fact, it was a direction that you were  
16 going to go. It seems like perhaps not, and that Table XX  
17 was an attempt to temporize that.

18           I think that there probably are things among the  
19 35 that I would be comfortable seeing a table such as XX  
20 and sort of briefed, you know, this the why we didn't  
21 proceed with this, even though it made it into this 35.  
22 That I think that there clearly needs to be a bigger group  
23 than the 11, and I think that four of those 11 we do need  
24 to have literature reviews, summaries just like you do for  
25 the other 11.

1           I think at an absolute minimum, I've raised  
2 enough doubt about these three chemicals that they need to  
3 be among the final group for which we have summaries. And  
4 I think that it would be useful to take some time with  
5 this panel at this session today, other wise you're going  
6 to be too far behind in time to highlight some other  
7 substances, which just on a generic basis that would seem  
8 to be enough suspicion despite what you have here on Table  
9 20, and coming at Table 20 with some skepticism that, you  
10 know, it's going to have to be sort of show me why they're  
11 not, show me more as to why they're not in the final 15.

12           Whereas, there are other things for which I'm  
13 willing to take -- you know, I don't want to have more  
14 discussion on asbestos, I don't need to see that more.  
15 So, you know, that's okay. And I think carbon  
16 tetrachloride given, you know, what exposures are like in  
17 the ambient air, I don't need the see more about that. I  
18 think chlorine I did raise an issue just in terms of the  
19 consistency before, so maybe that would be something that  
20 needs to be there.

21           And we could go around the table, but maybe that  
22 would be the middle ground. I think clearly there's  
23 stuff -- and then we can have the more substantive  
24 discussion about, if I'm going the compare methylene  
25 chloride with, you know, planar PCBs what makes it -- and

1 formaldehyde, what do I think should be in the top five,  
2 which is a separate discussion.

3 CHAIRPERSON FROINES: Gary.

4 PANEL MEMBER FRIEDMAN: Yeah, I think that  
5 getting back the Stan's point, the goal is to get five and  
6 give the point about the time pressure, I would think, you  
7 know, that if we can go around the table and see if there  
8 are other chemicals that people think should be considered  
9 for the top five and not so much worry, at this point,  
10 about the top 11, that that would be more useful given the  
11 time pressures.

12 And, you know, I can't contribute to that,  
13 because I'm not a toxicologist. I don't really know  
14 subject matter much about some of these chemicals, but  
15 others like Paul probably could.

16 PANEL MEMBER GLANTZ: Yeah. I mean, I'd like to,  
17 you know, we're sort of agreeing with each other, but I  
18 think that's what the -- the think I said while you were  
19 out answering the page, was that this top 11 is really  
20 kind of artificial, I mean, in a way. And I think what we  
21 ought to be doing is going through and identifying  
22 anything that they didn't do to focus -- that aren't in  
23 the 11 that you think ought to be Seriously considered.

24 And, again, like Gary I'm not a toxicologist, and  
25 then make sure they get thoroughly considered. And it may

1 be there's -- you don't need all 35, there may be five  
2 more or three. You mentioned, what, three. I mean what  
3 are the other ones that people think ought to be seriously  
4 considered for being in the top five?

5 PANEL MEMBER FUCALORO: That's pretty much what  
6 we suggested. That's what Paul suggested. And --

7 PANEL MEMBER GLANTZ: Okay, well then let's just  
8 hear what people have to say.

9 CHAIRPERSON FROINES: The problem is that Paul  
10 went and did a literature search. And so starting from  
11 zero he found some compounds. For us now the go through a  
12 list is a little difficult because we don't have any  
13 information that suggests there's something missing, so  
14 we're in a sense --

15 PANEL MEMBER GLANTZ: Well, I think those are two  
16 different problems. I mean one of them is reassuring  
17 ourselves that the literature searches are reasonably  
18 complete. And I think that Gary suggested a protocol that  
19 OEHHA could use to double check what they've got. I think  
20 that needs to be done.

21 But then the other question is from based on what  
22 we know, from what's presented here and just where people  
23 know, I mean, which of these compounds that aren't on the  
24 list of 11 ought to be getting a fuller treatment, so that  
25 we can then participate in a sensible discussion about

1 what the top five are?

2 PANEL MEMBER FRIEDMAN: Do you think Paul that of  
3 the three that you mentioned any of them are candidates  
4 for the top five?

5 PANEL MEMBER BLANC: Yes, I do.

6 CHAIRPERSON FROINES: I would argue manganese and  
7 methylene chloride are --

8 PANEL MEMBER BLANC: Well, let's take a stab at  
9 this then shall we. George, I mean do you think that's --  
10 Melanie, do you think that would be --

11 DR. ALEXEEFF: We'd be happy to do that.

12 SUPERVISING TOXICOLOGIST MARTY: The other thing  
13 that might help is that --

14 PANEL MEMBER FUCALORO: The alternative the do  
15 36, so this is a half-way house.

16 DR. ALEXEEFF: I think it's important to focus on  
17 the ultimate purpose of this, and, in part, by maybe  
18 raising this group of 11, you know, in one sense it's what  
19 Stan was indicating that we've added information that  
20 wasn't necessary. At the same time, it did raise the  
21 issue the your attention that possibly some of our  
22 literature reviews weren't on point, in part, because this  
23 was a difficult subject for us to do literature reviews.

24 But regardless of all that, we'd be happy to add  
25 additional information or bring to the panel any

1 additional information, any of the chemicals that you feel  
2 you need the look at before you can decide on which five  
3 should be recommended.

4 CHAIRPERSON FROINES: Let's take up the  
5 suggestion that basically Gary, Paul and Stan are making.  
6 I just want to make -- ask one question, before we do it.  
7 With arsenic and cadmium, under your reasons for lower  
8 priority, you say lower ranking and less concern than lead  
9 or mercury for neurotoxicity. That's a little  
10 problematic, I think, because it's a comparative  
11 statement. And I think we should be looking at the  
12 evidence on an absolute basis. And that is, is there  
13 evidence -- what the strength of the evidence with cadmium  
14 for differential effects?

15 I don't know how to draw a conclusion from a  
16 comparative statement like that. Does that mean to say  
17 that I don't need the worry about cadmium for kids or what  
18 does it mean?

19 SUPERVISING TOXICOLOGIST MARTY: No, that does  
20 not mean that at all. It means that for the five, we have  
21 loads of evidence in humans that lead and Mercury are a  
22 problem for develop neurotoxicity. When you compare that  
23 database to what you have for cadmium, you don't have near  
24 the weight that you do for lead and Mercury in humans.

25 So when you're just considering that you're

1 trying to skinny this down to five, we wouldn't put  
2 cadmium up there. We would put lead up there. And we  
3 suggested that possibly even mercury should go up there.  
4 And also if you look at the emissions from stationary  
5 sources, there really is a difference. And, actually, I  
6 have a table -- I don't think I gave it to anybody,  
7 because I just put it together yesterday of the top 35,  
8 and, you know, cadmium, and this is again -- you know,  
9 there's holes in the data, because this is emissions  
10 inventory from just those facilities reporting out of the  
11 hotspots program. But for cadmium we have 3,600 pounds,  
12 for lead you have 233,000 pounds and for mercury you have  
13 about 10,000 pounds. Arsenic is about 11,000 pounds.

14           Now that doesn't represent your total exposure,  
15 but it gives you an indication that lead is still being  
16 emitted from stationary sources in considerable  
17 quantities. So that would then tie into why you would be  
18 more worried about lead, the human data, plus you know you  
19 have leading poisoned kids out there. We already know  
20 that. I don't know if we have arsenic poisoned kids and I  
21 don't know if we have cadmium poisoned kids, but I sure  
22 know we have lead poisoned kids and there's no reason to  
23 put anymore lead out into the environment.

24           PANEL MEMBER BLANC: And the coplanar PCB  
25 poisoned kids?

1           SUPERVISING TOXICOLOGIST MARTY:  There are  
2 actually human data on developmental neurotoxicity for  
3 coplanar PCBs.

4           PANEL MEMBER BLANC:  But see what I'm saying, the  
5 implication here is well we can only put two metals on the  
6 five, so therefore, you know --

7           SUPERVISING TOXICOLOGIST MARTY:  Well, it's true.  
8 I mean we had the balance -- are you going the put all  
9 neurotoxins are or are you going to ignore all the  
10 carcinogens, are you going To ignore all the other points.  
11 And that just points to some of the difficulty in trying  
12 the pick five.

13          PANEL MEMBER BLANC:  Yeah, but it's part of the  
14 difficulty of when you -- you set up for yourself a  
15 hierarchical process, where first there were 35, which  
16 sort of -- you were going to throw a broad net, 35 --  
17 we're going the take in this group anybody for whom we  
18 either think on toxicologic grounds could be a problem,  
19 just, you know, based generically or there is a lot of  
20 exposure, or the ratio of the exposure to the REL, et  
21 cetera.  You had a bunch of different criteria that ones  
22 could have immediate it.

23                 So you're going the throw a broad net,  
24 appropriate.  We've all been satisfied with that,  
25 especially now that it's been explained.  And you take the

1 35. These 35, they have made it to this threshold, we're  
2 going the do literature reviews. We're going to have  
3 these literature reviews. Okay, you have literature  
4 reviews done.

5           Now, we're read the literature reviews. Some of  
6 these, okay, we had concern going in, but now seeing the  
7 literature review, it's so skimpy that we really don't  
8 need to give it further consideration. Not, there's stuff  
9 there, but boy compared to lead, it's not so bad. That  
10 was going the next step.

11           So you're using as an argument for not going from  
12 this group to the sort of core group from which you're  
13 going to choose the five as the reason to not get -- that  
14 it's really because it couldn't make it into the five,  
15 that it's not getting into that group. Do you see --

16           SUPERVISING TOXICOLOGIST MARTY: Well, it  
17 couldn't make it into the 11.

18           PANEL MEMBER BLANC: That's right, but the REL --  
19 but what John was saying was, you know, the statement  
20 lower ranking and less concern of lead or mercury for  
21 neurotoxicity is not a rationale for not being in the  
22 group of 11 or the group of 15. Saying there's no human  
23 data, and we're requiring some human data at least the get  
24 into that next step, or there's --

25           PANEL MEMBER GLANTZ: Okay, but wait. I think

1 what we should do to try the move on is we should -- I  
2 mean I haven't heard -- I mean the 11 that they did those  
3 are there. And I think the real question is the there  
4 anything where there is enough evidence and concern, for  
5 whatever reason, that they deserve more thorough  
6 discussion about being in the five. And so I think we  
7 should just -- I'd like the hear what the people who know  
8 about toxicology think of anything in the list of 35 that  
9 ought to be elevated up to the list of however many, that  
10 then ought to be seriously discussed, compound by compound  
11 and then we can talk about all these.

12 PANEL MEMBER BLANC: Well, I would say that in  
13 follow up to John's comment then, if I had to think about  
14 arsenic and cadmium, although I don't think the cadmium  
15 data -- there may be some intriguing data, but I don't  
16 think there's as much there. I do think that for arsenic  
17 it could be discussed in terms of the top five.

18 PANEL MEMBER FUCALORO: And the others you gave?

19 PANEL MEMBER BLANC: The others I gave for sure.

20 PANEL MEMBER FUCALORO: I'm counting four more.

21 DR. MARTY: I've got five.

22 PANEL MEMBER FUCALORO: Okay, five.

23 SUPERVISING TOXICOLOGIST MARTY: I've got  
24 chlorine also.

25 PANEL MEMBER BLANC: And then chlorine I would

1 add to that because of issues of consistency. I would say  
2 methyl bromide, just based on what I see in the table.

3 CHAIRPERSON FROINES: It's a problem.

4 PANEL MEMBER GLANTZ: Let's just let, any others?

5 PANEL MEMBER BLANC: I think that those are the  
6 ones I would say. But can I also say a few for which I  
7 would be particularly concerned about quality control,  
8 just to make sure, because I'm taking on face value to a  
9 certain extent. And I haven't gone the pull the articles.  
10 So I don't have another reason to say it, but I'm just --  
11 one, is methanol, you know, for all the reasons. I  
12 think --

13 SUPERVISING TOXICOLOGIST MARTY: We were just  
14 discussing that.

15 PANEL MEMBER BLANC: You need a very careful  
16 literature search for methanol, because I could easily see  
17 it being a candidate for one of the top five.

18 And I'm going to also take as fairly convincing  
19 on face value, and John maybe you have some comments on  
20 that, I think the study that, since it was specifically  
21 studied, n-Hexane. And young animals were relatively  
22 resistant to it. And then on top of that there seems to  
23 be well done negative teratogenic studies. That would  
24 seem to be fairly convincing negative data. And I'm  
25 assuming that there aren't positive studies that you're

1 overly discounting for some reason.

2           And this was something I did look at briefly, and  
3 I didn't find anything else on it, so I think the Hexane  
4 doesn't need to be considered for the top five.

5           CHAIRPERSON FROINES: Yeah, I agree, it does not.

6           PANEL MEMBER BLANC: So, but, you know, it's  
7 obviously something you want to double check.

8           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: It was a compound which we gave very  
10 consideration to.

11           PANEL MEMBER BLANC: Right. And then I want to  
12 raise again is the use that I had raise earlier, which had  
13 to do with oxidants, with things that could cause  
14 methemoglobinemia, just make sure that we haven't missed  
15 something there, either something that was in your 35 that  
16 does cause -- for example, dichloro benzene, negative  
17 study, "A woman who ate dichloro benzene throughout  
18 pregnancy showed hemotoxic effects, but the infants showed  
19 no toxic effects upon delivery."

20           And I don't remember if dichloro benzene induces  
21 methemoglobinemia. But obviously if it did, then -- and  
22 if you believe that there's ambient -- if it's an ambient  
23 pollutant, because it could be an additive with other, you  
24 know.

25           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: Some of the aromatic amino compounds certainly  
2 would produce that effect, but I don't think that we have  
3 uncovered any which have sufficient exposure in terms of  
4 hot spot emissions or ambient levels to draw our further  
5 attention to.

6 PANEL MEMBER BLANC: Right. Again, can I just  
7 say one other thing about it. I understand that the two  
8 things that you're trying to get a list of five, and that  
9 just because something is on the list of five doesn't mean  
10 that it won't be looked at later, but I also realize that  
11 if something doesn't make it into the sort of, smaller  
12 group, that there are going to be regulatory ramifications  
13 of that. I mean, in terms, of how far up -- yeah, it's  
14 true if something theoretically didn't even make it into  
15 your list of the 35 and then later on some, you know,  
16 evidence could emerge.

17 But, in fact, given the facts and all of the  
18 things that are looked at, you know, things are going to  
19 fall. This prioritization is going to have impacts.

20 CHAIRPERSON FROINES: But I think there's an  
21 important point here. I think that this is not just a  
22 regulatory process. And we're tending to think about it  
23 as a bureaucratic regulatory process. I think having a  
24 list of five, but also having confidence in a subsequent  
25 list of 10 to 15 tells the world that the State of

1 California thinks there is some evidence for say perhaps a  
2 total of 15 to 20 chemicals, and that that is an important  
3 message to go out beyond the narrow regulatory context.  
4 And so this is a very important discussion, well beyond  
5 the relatively narrow decision we have to make.

6 PANEL MEMBER FUCALORO: Clearly, the number five  
7 is arbitrary when it comes from the Legislature. I mean,  
8 the difference between five and six may be negligible.  
9 And, in fact, it may run out to 12, 15 or something like  
10 that. I mean, I think that's implicit, but maybe it ought  
11 to be explicit. I think that's what you're getting at,  
12 John. I would agree with that.

13 CHAIRPERSON FROINES: I think it shows to our  
14 credit to have come up with a list of 15. That doesn't  
15 necessarily have regulatory significance, but it certainly  
16 has public health significance, and it tells researchers  
17 out there to go study the problem and ARB to monitor and  
18 so on and so forth. It has wider implications than simply  
19 the designation of the five.

20 Peter, additional chemicals?

21 PANEL MEMBER WITSCHI: No.

22 CHAIRPERSON FROINES: I wanted to raise a couple  
23 of questions. I agree with Paul that we shouldn't  
24 consider hexane. I think we have two aldehydes already,  
25 but I wanted to raise this and then I don't want -- let's

1 not get into a discussion for time purposes. The  
2 emissions for acid aldehyde certainly are dwarfed by  
3 formaldehyde, for example. And acrolein emissions are not  
4 the relevant questions anyway.

5           But for the issue of acid aldehyde is an  
6 interesting one, because of a point that you actually  
7 raise, which is fetal alcohol syndrome. I mean acid  
8 aldehyde is a metabolite a ethanol. And I got a request  
9 yesterday to review an ethanol document for the New  
10 England states on the use of ethanol in place of MTBE.  
11 And so as we replace -- if we do replace MTBE with ethanol  
12 and we then clearly have to worry about acid aldehyde, now  
13 there are different studies that some show that there may  
14 be importance and there may not be importance. It's not  
15 really clear as of this point.

16           But I think that given the considerations about  
17 the potential use of ethanol in California, acid aldehyde  
18 is one that we should at least be able to say something  
19 about what we think vis a vis fetal alcohol syndrome and  
20 that which is presumably a neurologic dimension. So I  
21 would say acid aldehyde is something that we need to  
22 consider as being on some list.

23           The other three chemicals that I would add to it,  
24 I would add not because I know the literature on  
25 differential effects. I would suggest them precisely

1 because I don't know the literature, but perchloroethylene  
2 has a total of 4,500,000 pounds per year. That's a lot.  
3 You compare that to formaldehyde which is one and a half  
4 million. So that PCE, as we all know, is extremely widely  
5 used in California and there is an awful lot of people,  
6 exposed to it.

7           And we did a study of levels we PCE in my  
8 son's -- coming from son's bedroom, and they were quite  
9 high. We were at the parts per million level, so that  
10 there are kids who are exposed to dry-cleaning, and so  
11 it's an issue.

12           Toluene we have five million pounds, and zylenes  
13 we have three and a half million pounds. So simply on the  
14 basis of the fact that you have a few million pounds of  
15 those, we better make sure that we've looked at the  
16 literature on those. And you may be fine. I'm not  
17 suggesting you not. But I'm saying that given the  
18 quantities we have here the fact that I think toluene and  
19 zylenes are listed under Prop 65 as developmental toxins,  
20 we just better be sure --

21           SUPERVISING TOXICOLOGIST MARTY: Toluene but not  
22 zylenes.

23           CHAIRPERSON FROINES: -- that we've adequately  
24 covered those areas.

25           PANEL MEMBER FUCALORO: What's the asterisk mean?

1           SUPERVISING TOXICOLOGIST MARTY: Those were  
2 chemicals that we think are underreported. CS2 I don't  
3 believe that number that it's only 1,500 pounds. And PCBV  
4 and PC dioxins, I know for a fact that the refineries were  
5 not -- there was one refinery out of seven in the bay area  
6 that reported emissions of dioxins and I don't believe  
7 that either.

8           I do want to make a comment on the aldehydes,  
9 formaldehyde especially. The vast majority of  
10 formaldehyde in ambient air is a secondary formation, so  
11 this emission rate of a million and a half or so pounds  
12 from stationary sources, that is really a drop in the  
13 bucket probably compared to what's actually out there from  
14 mobile sources in secondary formation.

15          CHAIRPERSON FROINES: Which is why acrolein is --  
16 it's irrelevant this number here.

17          SUPERVISING TOXICOLOGIST MARTY: Right. And  
18 Roger is not here, but I'm guessing that acid aldehyde  
19 there is also secondary formation of that. Andy is  
20 telling me that about 85 percent in the air is secondary  
21 formation.

22          CHAIRPERSON FROINES: Right. And there are  
23 studies that suggest if go to ethanol there won't be an  
24 acid aldehyde problem, but it's not entirely clear yet.  
25 And one of the interesting chemicals that isn't on the

1 list, which it will be worth looking at, I don't if you  
2 did, was PAN.

3 SUPERVISING TOXICOLOGIST MARTY: It's not a TAC.

4 MR. SALMON: We'd love it to be one, but it's  
5 not.

6 CHAIRPERSON FROINES: What?

7 DR. MARTY: We'd love it to be one, but it's not.

8 CHAIRPERSON FROINES: Well, we should consider  
9 taking it up. That's quite important.

10 PANEL MEMBER FUCALORO: What is that?

11 CHAIRPERSON FROINES: Peroxyacetyl of --

12 DR. MARTY: Nitrate.

13 CHAIRPERSON FROINES: -- nitrate.

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: The report which we did on the ethanol versus  
16 MTBE comparison in addition to pointing out what we were  
17 just saying about the important role of secondary sources  
18 in generating aldehydes like formaldehyde, acid aldehyde  
19 and acrolein also showed an important hazard index for  
20 irritants of which PAN obviously figured very largely.  
21 The only good thing one can say about the situation is  
22 that levels have, in fact, declined dramatically over the  
23 years as a result of improved engine technology, but it's  
24 still a considerable amount of it. And it appears to be  
25 an important contributor to respiratory irritants and eye

1 irritants.

2           CHAIRPERSON FROINES: Well, it's also -- if we  
3 use ethanol, we'll have to worry about it again, but also  
4 there's enough toxicologic data to make you worried about  
5 it, but it's also defined by how little toxicologic data  
6 as you know there is.

7           PANEL MEMBER BLANC: So, John, the ones that you  
8 mentioned, for example, tetrachloroethylene, you were  
9 using those examples where you just wanted a real double  
10 check of the -- they weren't things you were elevating?

11           CHAIRPERSON FROINES: I wasn't suggesting they  
12 get elevated, but I think that they are of sufficient  
13 exposure that it's worth, given what you've found, that we  
14 do a double check.

15           CHAIRPERSON FROINES: I don't agree about this  
16 notion a carbon disulfide. I think it's an important Paul  
17 has raised, but I'm not convinced there's very much of it  
18 in the air.

19           PANEL MEMBER BLANC: I think that there's a lot  
20 -- EPA data suggests there's an awful lot of it.

21           CHAIRPERSON FROINES: What's the source?

22           DR. MARTY: The reason I put an asterisk on that  
23 is there was a source in the bay area that had reported  
24 under EPA's reporting program, but for some reason did not  
25 report under the California program, so we were going to

1 look into that, and it was 200,000 pounds per year was my  
2 recollection from a single facility in the bay area.

3 Now, I can double check that and make sure that  
4 that was a real number. We did contact the bay area  
5 district about that.

6 CHAIRPERSON FROINES: We could give the panel a  
7 test and ask them what chemical we've dealt with produces  
8 carbon disulfide, but it is metam sodium. We can't take  
9 it out.

10 PANEL MEMBER BLANC: It's proved because carbon  
11 disulfide is not used as a pesticide.

12 CHAIRPERSON FROINES: I know.

13 (Laughter.)

14 PANEL MEMBER BLANC: Actually, it is used as a  
15 pesticide, but is'a byproduct, but anyway.

16 SUPERVISING TOXICOLOGIST MARTY: So can I  
17 clarify, John, that the chemicals you mentioned did you  
18 want a summary like we had for the 11 for those or just  
19 you wanted to double check?

20 CHAIRPERSON FROINES: No, on those I'm not  
21 suggesting a summary necessarily, whoever said it. I was  
22 just asking for a double check given the amounts that are  
23 used, because trichloroethylene is a very important  
24 chemical, and -- I mean, pardon me perchloroethylene, and  
25 so we just need to make sure that we're comfortable with

1 the literature that we have. That's all I'm saying.

2 PANEL MEMBER BLANC: And, John, you had mentioned  
3 I think at our last meeting some concern over butadiene.  
4 That would also be something that you would just have a  
5 double check of the literature but not beyond that.

6 CHAIRPERSON FROINES: I suspect that they've  
7 given a lot of attention to butadiene at this point. And  
8 I'd be surprised if they didn't have all the information.  
9 I don't think butadiene is one to worry about, given its  
10 toxicity carcinogenicity.

11 It's 12:15. Can we take a 45-minute break and  
12 start at 1:00 o'clock and go directly to PAHs and then  
13 diesel?

14 SUPERVISING TOXICOLOGIST MARTY: Yes.  
15 (Thereupon a lunch recess was taken.)

16 CHAIRPERSON FROINES: I think we should begin.

17 SUPERVISING TOXICOLOGIST MARTY: Andy Salmon is  
18 going to make the presentation on PAHs and why we included  
19 them in Tier 1.

20 (Thereupon an overhead presentation was  
21 presented as follows.)

22 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
23 SALMON: Okay. Well, I'd like to start by summarizing the  
24 situation.

25 Can you hear me all right now?





1 appear in the white blood cells in cord blood. And DNA  
2 adducts have also been shown in the fetus.

3           This formation of adducts from the reactive  
4 intermediates is mediated by various cytochrome P450  
5 enzymes. There's been some considerable amount of work on  
6 exactly how these so-called Phase 1 enzyme activities  
7 varied at different developmental stages, both pre- and  
8 postnatally.

9           And it's been generally argued that, in fact, the  
10 Phase 1 activities may be lower at the younger ages, but  
11 they're not zero. It does appear that, at least, if you  
12 have a fetus or young animal which carries the responsive,  
13 the AHG, that the enzyme activities are inducible. And  
14 the other important issue is that it seems that the amount  
15 of toxicity, the amount of adducts formed depends not  
16 necessarily on the absolute amount of Phase 1 enzyme you  
17 might happen to have around at the time, but also, most  
18 importantly, on how the Phase II enzymes are developing.

19           It would appear that the balance between  
20 deactivation and activation are very important in  
21 determining the final impact. And there are some  
22 indications that the fetus and/or the young animal are, in  
23 fact, more sensitive to these effects than the adults, in  
24 particular, the fetus is more sensitive to adduct  
25 formation than the other under some circumstances.



1 adults and children to polycyclic aromatic hydrocarbons  
2 carcinogenesis.

3           Basically, the studies either haven't been done  
4 or perhaps even can't be done to do the kind of, for  
5 instance, I think when we're discussing Vinyl chloride,  
6 you'll see a bioassay, where they actually have detailed  
7 differential exposure patterns at different ages and you  
8 can you see different carcinogenic potency at various  
9 points during the lifetime.

10           Those studies don't appear to be available to  
11 polycyclic aromatic hydrocarbons, but what is in the  
12 literature is a very general presumption that the younger  
13 animals are more sensitive and particularly the neonatal  
14 animals have been, in fact, used quite specifically as a  
15 rapid and highly sensitive bioassay for demonstrating  
16 carcinogenicity of polycyclic aromatic hydrocarbons.

17           The study which, I'm showing here, La Voie et al  
18 is typical of many such studies. Basically, they were  
19 surprised that the adult carcinogenicity studies which  
20 have been performed with fluoranthenes had not, in fact,  
21 identified fluoranthene itself as carcinogenic in spite of  
22 the fact that the genetic toxicology metabolic indications  
23 seem to imply that it would be.

24           The protocol used was newborn mice given three  
25 intraperitoneal injections of the hydrocarbon groups

1 included obviously dosed groups, control and the positive  
2 control Benzo[a]pyrene itself, and therefore long tumors  
3 were observed at one year of age.

4 --o0o--

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: The results show clearly that although the as  
7 perhaps is expected, the mouse, the neonatal mouse  
8 responds to the methyl fluoranthenes, which is consistent  
9 with the finding with the adult mouse, skin promotion,  
10 bioassay, which the initiation components of the standard  
11 mouse skin bioassay, which is probably the most sensitive  
12 assay, at least one of the most quietly used for the adult  
13 system, but we also see the neonatal mouse responding to  
14 fluoranthene quite strongly.

15 I mean, in terms of trying to interpret what this  
16 means, one is attempted to suspect that this represents a  
17 sensitivity rather than an absolute statement that the  
18 fluoranthene is not carcinogenic in the adult, but that it  
19 is in the --

20 CHAIRPERSON FROINES: Was the method of  
21 administration for the adults the same as the method --

22 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

23 SALMON: No, it was not. These are basically -- that  
24 comparison has not been done, and it does appear, I mean,  
25 this is a generic problem that people have not done the

1 sort of, you know, standard administration across  
2 different life stages. This is comparing what is  
3 considered to be the most sensitive adult bioassay for  
4 hazard identification for PAHs.

5 CHAIRPERSON FROINES: The newborn what was the  
6 method of administration?

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
8 SALMON: It's the intraperitoneal injection. And I think  
9 it's fairly common to find that the adult rodent will  
10 respond to intraperitoneal injections of PAHs, but you  
11 would almost certainly not see the kind of sensitivity  
12 that you see with the neonatal mouse system or the  
13 neonatal rat. The other paper, which I cited in my  
14 introductory summary table is typical.

15 It was a study of Nitro-PAHs by my colleagues.  
16 And they specific say right at the beginning of the paper,  
17 we chose to use the neonatal mouse carcinogenicity assay  
18 on the expectation that it would be more sensitive and  
19 have a wider range of responding tumor sites than seen in  
20 the adults. And one keeps seeing statements like that in  
21 the literature.

22 CHAIRPERSON FROINES: Well, I think, again, a  
23 statement is not a scientific fact. It's a statement  
24 somebody made.

25 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: This is why --

2 CHAIRPERSON FROINES: So really one has to be  
3 somewhat careful in considering these results since the  
4 newborn mouse data isn't coupled with an adult mouse  
5 assay. So what the results of the skin bioassay may be  
6 relevant, but they are not directly comparable.

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: This obviously requires careful interpretation,  
9 but unfortunately the State of the data is such that this  
10 is the best I can offer you on the spot.

11 --o0o--

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: Fortunately, the situations on the developmental  
14 toxicity is a little bit more straightforward, in so far  
15 as developmental toxicity every is straightforward.  
16 Benzo[a]pyrene causes a range of developmental effects,  
17 including fetal death and resorption. And also  
18 malformations and stillborn and those fetuses which are  
19 carried to term.

20 And in this particular case, it's interesting to  
21 note that where the fetus is carrying the gene for  
22 responsiveness to induction of the cytochrome P450 by  
23 polycyclic aromatic hydrocarbons. The impact is greater.  
24 This is numerical results.

25 --o0o--

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: I'd like to, if you don't mind, present this in  
3 graphical form. It's a little bit easier to see what's  
4 going on here, and draw your attention to the front row of  
5 columns here for the percentage carrying all effects. The  
6 B6 control versus the B6 treated there's obviously a large  
7 and statistically significant increase in the number of  
8 impacted fetuses in that group.

9 And similarly, although the AK mouse shows a  
10 lower overall rate of effects, there is an increase in  
11 that strain also. The proportional increase in effects is  
12 greater in the B6 mouse, which is the one which is  
13 responsive to the P450 induction. You see the same effect  
14 with the resorptions.

15 Malformations, in fact, in this particular  
16 experiment, the AK mouse, didn't show Malformations, but  
17 the B6 mouse did. The other thing which is notable is  
18 that the treated mice in both strains show a substantial  
19 impact on the number of successful implants, and the  
20 number of successful pregnancies relative to their  
21 controls.

22 PANEL MEMBER BLANC: Now, going back to our  
23 earlier discussion, however, the only, in fact, adverse  
24 impact that would be relevant would be the malformations,  
25 since the fetuses that don't survive to be born would not

1 be and effect that would be relevant to what we're  
2 looking; is that correct?

3 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: Well, there are actually a suite of different  
5 responses. The ones which were assayed in this particular  
6 experiment and not all the responses which PAHs have been  
7 shown to produce, but in terms of this particular group of  
8 effects, yes, it's the Malformations which are the most  
9 critical finding, because those are the ones which would  
10 provide a continuing impact on health of surviving  
11 infants.

12 PANEL MEMBER BLANC: But the document doesn't  
13 necessarily reflect that in its discussion. It doesn't  
14 say -- and, of course, although there are these other  
15 effects, what we're really focusing here on the  
16 malformations?

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: I think the point that we would be trying to make  
19 and I'll bring this up, perhaps, if I may, by continuing  
20 some of the other discussions is that what you have  
21 actually is a continuum of effects, some of which result  
22 in -- some of the end points are things which obviously  
23 are not strictly relevant to the differential effect on  
24 children's health, but nonetheless, part of the overall  
25 toxicological response. And so where the --



1 SALMON: My next slide, I have to apologize to you, this  
2 study actually wasn't in the toxicity review, which you  
3 received in the original packet, because it came out in  
4 December of 2000 and actually didn't make it into our  
5 initial review cut.

6 But we subsequently identified it and I wanted to  
7 include it in this presentation, because I think it  
8 clarifies and perhaps make a rather clearer case for what  
9 we think might be going on in this particular series of  
10 findings.

11 --o0o--

12 CHAIRPERSON FROINES: The public hasn't had a  
13 chance to comment on this?

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: No, the public has -- let's see -- no, the public  
16 has not seen -- well, I'd assume the public has read  
17 Environmental Health Perspectives, but other than that,  
18 no.

19 PANEL MEMBER BLANC: The intent is that this will  
20 be in the next revision of your --

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: The intent is that this will go into the next  
23 revision, yes.

24 It also builds on several previous studies, which  
25 were referenced in the summary, which has been put out for



1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: To put this as simply as I can, what you see is  
3 that where the pollution level is low or lower, the ratio  
4 for the intrauterine growth retardation is consistently  
5 related to the level of PAH exposure, but if you look  
6 across the two areas, in fact, the relationship with PM 10  
7 is inverted between the two areas.

8 The suggestion being that this constitutes  
9 evidence that the response is specifically associated with  
10 exposure to the PAH component of the pollution as opposed  
11 to the PM 10 in this case.

12 CHAIRPERSON FROINES: What are the PAHs that were  
13 measured?

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: The PAHs here were the, I think, it's 9. US EPA  
16 identified PAHs which are commonly used. They're the ones  
17 which were listed, I think, also in the beginning of the  
18 report as being commonly measure carcinogenic PAHs.

19 CHAIRPERSON FROINES: All particulate based?

20 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

21 SALMON: These would have been the particulate based ones.  
22 I don't think there were any measurements of the  
23 specifically volatile ones like naphthalene. Although, I  
24 will mention in passing that, you know, we've got  
25 naphthalene on the TAC list separately, but for the sort

1 of discussions that we're having here, it would probably  
2 be advisable to consider it along with the particulate  
3 bound PAHs.

4 PANEL MEMBER BLANC: Actually, can we digress for  
5 a moment on the naphthalene front.

6 So naphthalene in your Table XX -- well, actually  
7 in Table 2 is listed as something which has reason to have  
8 a more thorough review, but then doesn't appear on Table  
9 XX because it's subsumed in --

10 SUPERVISING TOXICOLOGIST MARTY: In PAHs.

11 PANEL MEMBER BLANC: -- Supposedly subsumed in  
12 PAHs, but it's the only separately listed TAC from within  
13 that category, is that the only separately listed TAC for  
14 which that would apply, because it is on your list of, you  
15 know, pounds of exposure. Is it here? No, it's not  
16 actually. PAH is here.

17 But in the section, I guess, it seems to jump out  
18 as being something with a fairly --

19 SUPERVISING TOXICOLOGIST MARTY: Yeah, there is  
20 some history to that.

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
22 SALMON: It's complicated, because, in effect, you have  
23 overlapping and somewhat redundant classifications in that  
24 we have naphthalene, if you like a free-standing agent,  
25 but it's also clearly included within the definition of

1 the federal hat, which is the basis of the TAC listing.

2 PANEL MEMBER BLANC: But you have 360,000 pounds  
3 per year emitted. Although it does not appear --

4 CHAIRPERSON FROINES: Where are you looking?

5 PANEL MEMBER BLANC: Well, I'm looking on page  
6 eight of the PAH summary, so it absolutely dwarfs all of  
7 the other --

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: Yes, it's a very large emission.

10 PANEL MEMBER BLANC: But it doesn't appear on  
11 your stationary source. Is that because it's all mobile  
12 source emissions?

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

14 SALMON: The vast majority is mobile, I believe.

15 SUPERVISING TOXICOLOGIST MARTY: It's a product  
16 of incomplete combustion, and it represents about half the  
17 PAH's, plus or minus of combustion sources. It may be --

18 CHAIRPERSON FROINES: I don't agree. I don't  
19 think it's half. I think it's much more.

20 SUPERVISING TOXICOLOGIST MARTY: Well, suffice it  
21 to say, the huge fraction -- so I think the reason that  
22 it's listed separately is because historically having to  
23 did with you -- they listed the chemicals that needed to  
24 be quantified under the air toxics hotspots regulations  
25 and that may be why it's listed separately.

1           PANEL MEMBER BLANC:  But you have PAHs total --  
2 so the answer is that it's -- most of these 360,000 pounds  
3 is from mobile sources, so it wouldn't appear in the  
4 hotspot?

5           SUPERVISING TOXICOLOGIST MARTY:  Yes.  And the  
6 other answer could be that it's not tallied into that, to  
7 that table that you're holding in your hand.

8           PANEL MEMBER BLANC:  Okay, but on the other hand,  
9 it's the only individual substance for which you have it  
10 listed, and then falling out and then appearing within  
11 another group, as you note in a parenthetical comment, in  
12 Table 2 it says, "Treated as --

13           SUPERVISING TOXICOLOGIST MARTY:  -- PAHs right.  
14 I actually think that it's hard to say.  There's a lot of  
15 separate PAHs that are listed separately under the  
16 hotspots.  And in going back to the original table that we  
17 started with, the prioritization table, for some reason  
18 it's pulled out and there's a notation that it's because  
19 it's under the federal half step initiative in its  
20 separate category than PAH, but it is a PAH.

21           So I don't -- you know, we knew when we saw that  
22 that we were going to just consider it, especially since  
23 the carcinogenicity data just became available showing it  
24 to be a carcinogen.

25           PANEL MEMBER BLANC:  Well --

1           SUPERVISING TOXICOLOGIST MARTY: You know in  
2 terms of exposure, the exposure piece. If PAHs gets on  
3 the list, ARB has to do the footwork on figuring out what  
4 the exposure profiles are.

5           PANEL MEMBER BLANC: But do you think naphthalene  
6 is important enough individually to warrant some  
7 emphasized comment within your section or do you think  
8 it's going to be obvious to anybody who -- I'm talking not  
9 about five pages. I'm talking about does it deserve a  
10 paragraph where in you say something about it?

11           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
12 SALMON: I think we'd be prepared to take your direction  
13 on whether you thought some of the appropriate --

14           CHAIRPERSON FROINES: I think naphthalene should  
15 become one of the compounds that receives a careful  
16 analysis. I'm not even equivocal about this. I think --

17           PANEL MEMBER BLANC: Well, they're saying it  
18 already has, because it's been --

19           CHAIRPERSON FROINES: I understand that, but I  
20 don't accept it. I think that, in fact, there are lots of  
21 reasons why naphthalene needs to be considered on its own.  
22 I'll give you a couple of examples. One, when we did  
23 diesel, we ended up with diesel particulate. We didn't  
24 end up -- and so that when diesel was identified as a TAC  
25 the vapor phase compounds were not included.

1           So that with respect to diesel, obviously  
2 naphthalene is missing from that control strategy. When  
3 you look at the concentrations of naphthalene, at least  
4 where I live in southern California, You probably have  
5 10,000 times more naphthalene in the air than you have  
6 Benzo[a]pyrene, which everybody goes out and studies about  
7 its carcinogenicity.

8           But if we have literally 10,000 times more  
9 naphthalene, it deserves considerable attention, because  
10 most people are breathing very large quantities of it.

11           And third, there is some very nice work at UC  
12 Davis looking at effects in the lung respiratory effects  
13 in the lung from naphthalene. And particularly in those  
14 regions of the lung, where there is active P450  
15 metabolism, which suggests that the formation of 1-2 and  
16 1-4 naphthoquinone are probably important pathways for its  
17 bioactivation.

18           And so that, I think naphthalene in and of itself  
19 is such an important compound that has been very much  
20 overlooked over the last few decades because of the  
21 general orientation for the larger ring PAHs that we've  
22 neglected. David Diaz-Sanchez's has worked, for example,  
23 on finanthrene as another example of a compound that's a  
24 smaller ring compound that has effects.

25           So we tend to think this notion that everything

1 will get taken care of because we list PAHs isn't true.  
2 There is no control strategy with ARB for PAHs. And  
3 there's certainly not under the diesel rule. So that  
4 naphthalene, I think, is one that we're really missing,  
5 especially given the respiratory effects that David's  
6 people have identified.

7 SUPERVISING TOXICOLOGIST MARTY: Well, we can add  
8 something --

9 PANEL MEMBER WITSCHI: But there is quite a lot  
10 of information about it and the respiratory effects in  
11 neonates and young animals and they are more sensitive to  
12 naphthalene.

13 SUPERVISING TOXICOLOGIST MARTY: We can add a  
14 section on naphthalene, under the PAH, but I don't think  
15 it's necessary to list it separately.

16 CHAIRPERSON FROINES: Why can't it be listed  
17 separately?

18 SUPERVISING TOXICOLOGIST MARTY: Well, then  
19 you're taking up another slot, when you can consider it as  
20 a PAH, which is a general category of TACs.

21 PANEL MEMBER BLANC: But isn't it possible also  
22 that were you to focus on naphthalene -- I'm just asking  
23 the question. It's not a rhetorical question. If you  
24 were to focus on naphthalene, since almost any release or  
25 control strategy you could think of that would control

1 naphthalene would probably control polycyclic aromatic  
2 hydrocarbons as a group, is that true?

3 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: No. I don't that we're in a position to answer  
5 that. You'd have to go ask --

6 SUPERVISING TOXICOLOGIST MARTY: There are also  
7 significant naphthalene emissions from the air toxics  
8 emissions database for stationary sources, so they were  
9 not tallied into the number that I just pulled off this  
10 table yesterday. So there is 152,000 pounds per year from  
11 of naphthalene from stationary sources.

12 CHAIRPERSON FROINES: I don't accept the argument  
13 that if something takes up a slot, therefore we shouldn't  
14 do it.

15 SUPERVISING TOXICOLOGIST MARTY: No, no, that's  
16 not at all what I'm saying. What I'm saying is we can  
17 list it as one of the PAHs. We list PAHs. We can say  
18 including, within a whole, but not limited to, and list  
19 the ones that jump out at us including naphthalene.

20 CHAIRPERSON FROINES: Well, I think, for example,  
21 Paul raised is the use this morning of manganese from the  
22 standpoint of its toxicity, but also because of its  
23 potential public health implications. And I think  
24 naphthalene falls into that same kind of category that  
25 this may be a compound that we should focus on in order

1 for us to then take seriously whether something might need  
2 to be done about it.

3 SUPERVISING TOXICOLOGIST MARTY: We can do that.

4 CHAIRPERSON FROINES: Especially, if Peter is  
5 right, and I suspect that he is, that there is evidence of  
6 differential toxicity, and if it's strong, then in some  
7 ways, one could argue that you would rather, if you  
8 could -- if there is strong evidence, then something like  
9 that that you really focus should become the focus of  
10 attention, rather than just lumping it with every PAH  
11 known to human kind, because within the context of PAHs,  
12 we know there's big differences between pyrene and  
13 Benzo[a]pyrene and so on and so forth, so that the problem  
14 with the lumping is that we then lose the benefits of the  
15 splitting approach.

16 SUPERVISING TOXICOLOGIST MARTY: Well, if we  
17 provide the toxic data to ARB, you know, it gives them the  
18 information they need to do something about naphthalene.  
19 They're already concerned about it, and that's why they've  
20 asked us to look at PAHs again, under the TAC to add more  
21 potency factors, for example, to the list that we already  
22 have.

23 PANEL MEMBER BLANC: Well, let's take Table 2 on  
24 page five of this thing where naphthalene doesn't --  
25 there's no potency factor for --

1           SUPERVISING TOXICOLOGIST MARTY: There is not a  
2 unit risk factor for naphthalene, because it used to be  
3 considered not a carcinogen until very recently. So that  
4 work has yet to be completed. But the ARB has asked us to  
5 come up with potency factors for additional PAHs and, of  
6 course, naphthalene is one of them.

7           PANEL MEMBER BLANC: So there is a paragraph here  
8 that will say that, let's say.

9           SUPERVISING TOXICOLOGIST MARTY: We can put that  
10 in there.

11          PANEL MEMBER FUCALORO: But from what you know  
12 where does it fall? Where does it fall in here? I mean,  
13 it is suggested that potency equivalency factors from one  
14 one-hundredth to twenty or so? I mean, my guess is it  
15 would be pretty small, because it's not been identified.  
16 It's certainly common. It's much more common than the  
17 rest of these.

18          AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
19 SALMON: I don't think we ought to come up with numerical  
20 pronouncements until we've done the work, but we are  
21 certainly of the opinion that it is carcinogenic as a  
22 result of the recent bioassay, which was published, but we  
23 are still at the stage where we're having to do --

24          PANEL MEMBER FUCALORO: But you see my -- just a  
25 point I'm trying to make, is that naphthalene is just a

1 common chemical compound, compared to all these others,  
2 that surely it's been studied and there must be some limit  
3 however.

4 SUPERVISING TOXICOLOGIST MARTY: We haven't done  
5 that calculation from the data that are recently  
6 available. But OEHHA is working on a potency factor; is  
7 that correct? Our Cancer Hazard Assessments Section is  
8 currently working on that.

9 The other issue, I think, to respond to your  
10 question is since the concentrations are higher and quite  
11 a bit higher than most of the other PAHs, that even if it  
12 was 10, or a hundred fold lower than Benzo[a]pyrene in  
13 potency --

14 PANEL MEMBER FUCALORO: I'm not using this as an  
15 argument to eliminate it. I'm just trying to get a feel  
16 for it.

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
18 SALMON: I think it's reasonable to suppose that it might  
19 not be as potent as Benzo[a]pyrene. And we might, you  
20 know, as you say have know about it already, but beyond  
21 that that I think it would be improper to speculate.

22 SUPERVISING TOXICOLOGIST MARTY: But it doesn't  
23 mean it's not important.

24 CHAIRPERSON FROINES: Well, without being too  
25 critical, let's face it the NTP bioassay wasn't done

1 yesterday. We've had those results for about a year now.  
2 One can run it through a multi-stage model with the NTP  
3 bioassay and have a result in a couple days.

4 My concern about this notion of not having gotten  
5 to naphthalene, I think, is because of this notion that it  
6 becomes a PAH and doesn't get the kind of attention that  
7 it deserves. And I think that it's -- when the NTP  
8 bioassay results came out given what we know about how  
9 much is in the air, I would have made it a major priority  
10 to go to a risk assessment and see where we are.

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

12 SALMON: It is a major -- my team are, in fact, working  
13 with the cancer hazard assessments section on this at the  
14 moment. And one of the things we've been looking at is  
15 the pharmacokinetics issues relating to that as to how one  
16 should best analyze the bioassay.

17 So the answer is, yes, it is something we've been  
18 asked to do. It's something which we are currently  
19 working on, and which we hope to be able to present the  
20 results of our efforts in due course. But this process  
21 amongst others, of course, is also, a separate one.

22 --o0o--

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: Okay, one other interesting piece of information,  
25 which we were able to extract from the data by looking at



1 SALMON: Yes. There's another study which is related to  
2 this, which is, in fact, this is Perera et al. 1998, which  
3 is looking at the similar findings.

4 CHAIRPERSON FROINES: Excuse me. I thought I'd  
5 made it clear to Melanie in a number of E-mails that I  
6 don't think one can use a review article as a primary  
7 science. And you have quoted the Perera article at least  
8 20 times in your slides so far. That's a review article.  
9 And unless you have the primary data, you should present  
10 the primary data not as a review article.

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

12 SALMON: Can I draw your attention to the difference  
13 between Perera 1998, which is a review article, and Perera  
14 et al. 1998 which is a presentation of a specific series  
15 of primary findings.

16 PANEL MEMBER BLANC: Probably, if you did 98(a)  
17 and 98(b), it would help clarify that, because it is a  
18 subtlety that is easy to overlook.

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: I will mend the text accordingly. But the point  
21 I wanted to make from this slide is that the outcomes  
22 actually reflected firstly in a reduction the birth  
23 weight. This similar study was in Poland rather than  
24 Czechoslovakia but in other respects they're fairly  
25 similar.







1 --o0o--

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: I just have this in form of the table. So in  
4 particular, the children have high nondietary ingestion,  
5 but they also have a substantial increase in inhalation  
6 exposure. And regardless of the perhaps hard-to-quantify  
7 contribution of airborne PAH pollution to the dietary  
8 PAHs, it's clear that the inhalation and nondietary  
9 ingestion, both of which have a fairly direct relationship  
10 to airborne PAHs, but air emissions of PAHs would have a  
11 significant input from to these children's differential  
12 exposure to PAH's.

13 --o0o--

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: A final note. We mentioned environmental tobacco  
16 smoke on a number of occasions. This particular slide  
17 Tang et al. shows increase of the number of biomarkers for  
18 exposure to ETS components. And these were looking at  
19 African-American and Hispanic children.

20 And if you look at the levels comparing the no  
21 ETS versus ETS exposed children, there's a distinct  
22 increase in cotinine. There's approximately twice as much  
23 as the PAH albumin adduct. There's also a modest increase  
24 in the systichromatic exchange, an increase in the  
25 4-aminobiphenyl/hemoglobin adduct. So this is

1 demonstrating that that particular exposure is a source of  
2 differential impacts on -- well, it's a source of exposure  
3 of children to PAHs, at least, that's the point of this  
4 slide.

5           PANEL MEMBER BLANC: Right. And can you tell me  
6 why this is relevant? I mean, you wouldn't have a  
7 hypothesis that children who were exposed to PAHs wouldn't  
8 absorb them, would you? I mean --

9           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
10 SALMON: I wouldn't.

11           PANEL MEMBER BLANC: No, but I mean, part -- you  
12 know, again, it comes into -- this is a generic issue as  
13 you go through some of these documents, but in terms of --  
14 yes, if I was going to have a review of exposure to  
15 children of PAH's, you know, this would appear in such a  
16 review. But if I was having a review you about  
17 preferential impact of PAHs on children compared to  
18 adults, this wouldn't be a relevant study, right, because  
19 this is not a study comparing the children to the adults  
20 in the same household with the same exposure showing that  
21 the children have a higher number of adducts or something.

22           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
23 SALMON: I think that the value of this is perhaps linked  
24 with the previous study, which was an exposure measurement  
25 showing that not only is there an increase in the exposure

1 term, but there is also an association between exposure  
2 and adducts, therefore -- so you can say A to B and B to C  
3 therefore C to E.

4 PANEL MEMBER BLANC: Well, except it's not. It's  
5 A to B and then Q to W or something. And because you've  
6 got a subject of PAHs where there's obviously a very, very  
7 large literature looking at a lot of different aspects, it  
8 tends to obfuscate more than clarify, I think, because  
9 what you really care about is what are the pertinent  
10 studies which show a preferential impact one way or the  
11 other in children. And listening -- I think this is  
12 fairly close to the last slide, isn't it?

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
14 SALMON: Yes.

15 PANEL MEMBER BLANC: Or is it the last slide?

16 --o0o--

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: This is actually the last one.

19 PANEL MEMBER BLANC: So if I had to summarize all  
20 of the data that you've shown us for Benzo[a]pyrenes as a  
21 group, there is one, vis a vis carcinogenicity  
22 preferentially, there is no direct evidence whatsoever?  
23 There is one indirect sub-example of one of the  
24 Benzo[a]pyrenes for which there is not a carcinogen in  
25 adult rats, but it is a carcinogen in neonatal mice.

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: I hoped I had explained that that was a selection  
3 of the --

4 PANEL MEMBER BLANC: Well, if that's the best  
5 example you could -- and there may be other examples where  
6 there also is not a head-on exposure. So there's sort of  
7 the very indirect suggestion of the possibility of  
8 preferential carcinogenicity of some Benzo[a]pyrenes  
9 perhaps and then in terms of an adverse reproductive  
10 outcome, you have some epidemiologic studies of air  
11 pollution showing adverse birth outcomes in eastern  
12 Europe, where one realizes that the Benzo[a]pyrenes are  
13 probably linked to a lot of other concomitant exposures.

14 In terms of supportive data in an animal study,  
15 you did show one study with Benzo[a]pyrene, I believe,  
16 where there was an increase in malformations although the  
17 more dramatic effects were increases in -- decreased  
18 stillbirths. And the implication that there might be some  
19 other similar teratogenic studies.

20 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

21 SALMON: There are others, yes, other agents and mixtures.

22 PANEL MEMBER BLANC: Is that a safe summary of  
23 the data?

24 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

25 SALMON: Well, the final one I wanted to show you was an

1 example of the developmental effects on fertility, Which I  
2 mentioned right at the beginning.

3           This was prenatal exposure to Benzo[a]pyrene.  
4 And in both males and females, there's a fairly clear  
5 dose-related decrease in fertility as a result of exposure  
6 so -- this is fertility of the offspring following  
7 prenatal exposure against to Benzo[a]pyrene.

8           So this, if you like, is an illustration of how,  
9 an effect, which is, perhaps, maybe possible to see in  
10 adults at some level, but is more dramatic and is also  
11 permanent when the exposure occurs in utero. And this --

12           PANEL MEMBER WITSCHI: The come back. The  
13 parent of ETS, there quite a few good studies, which show  
14 the ETS gives an increased risk of cancer in adults, but  
15 to the best of my knowledge, for children the evidence  
16 isn't there that strong, if at all.

17           So wouldn't this imply the opposite, that  
18 children are more resistant to the carcinogenic action?

19           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
20 SALMON: I think it implies that people haven't looked  
21 with the same power of study typically.

22           PANEL MEMBER WITSCHI: I'm NOT so sure about that  
23 one. The children and ETS has been looked at a long time  
24 in several studies. And, you know, I agree with you, the  
25 ETS adducts, that's the measure of exposure, but By this

1 talk, and then you could say the this case the kids more  
2 resistant than the adults are.

3 PANEL MEMBER BLANC: So is this study also one of  
4 a group studies that have -- or is this an isolated --

5 PANEL MEMBER GLANTZ: So this isn't a people move  
6 is it?

7 PANEL MEMBER BLANC: No, it's an animal study.

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: I'm sorry.

10 PANEL MEMBER BLANC: Was the fetal exposure  
11 having an adverse reproductive outcome -- or fertility  
12 outcome in adult animals?

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

14 SALMON: Yeah. Sorry, let me get -- I'm sorry, I've got  
15 the wrong button.

16 This is an animal study.

17 PANEL MEMBER BLANC: Right. Is this one of a  
18 group of animal studies?

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: There are other similar, yes.

21 PANEL MEMBER BLANC: With an adult impacted in  
22 utero exposure in terms of fertility?

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: Yeah. I'm trying -- yes.

25 SUPERVISING TOXICOLOGIST MARTY: There is another

1 one, Kristensen et al 95 which looked at prenately  
2 exposed female mice and then followed them.

3 PANEL MEMBER BLANC: Kristensen?

4 SUPERVISING TOXICOLOGIST MARTY: Kristensen.  
5 It's on page 29 of the summary.

6 PANEL MEMBER BLANC: Kristensen, how do you spell  
7 Kristensen?

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: K.

10 PANEL MEMBER BLANC: With a K?

11 SUPERVISING TOXICOLOGIST MARTY: They measured  
12 fertility in mice following prenatal exposure and report  
13 that the group exposed prenately to Benzo[a]pyrene showed  
14 more reduced fertility.

15 PANEL MEMBER BLANC: Because obviously one of the  
16 challenges I think with the Benzo[a]pyrene epidemiological  
17 literature is your per force limited to studies in which  
18 clearly Benzo[a]pyrene the but one exposure. And I think  
19 that despite the lengthy discussion of this recent paper,  
20 I don't think it completely suspends my disbelief in terms  
21 of what's linked to what in terms of, you know, the  
22 supposed difference between the PM 10 dose response and  
23 the Benzo[a]pyrene dose response.

24 So obviously for the epidemiologic data and this  
25 particular scenario, and ETS, of course, you're talking

1 about myriad of concomitant exposures. So obviously you  
2 would need fairly straightforward animal data with clear  
3 cut exposures in dose responses to support those.

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Yes, which, you know -- I mean, there are animal  
6 experiments which correspond in their findings to those in  
7 human.

8 PANEL MEMBER BLANC: So you're putting the weight  
9 then for polycyclic aromatic hydrocarbons is really the  
10 weight of your argument in terms of what's bringing it up  
11 to the four, would be its developmental toxicity.

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: I think it's easier to point to specific  
14 experiments which demonstrate that as a concern. The  
15 problem with the carcinogenicity literature is that  
16 people, although they've done a huge amount of work and  
17 everybody who writes on the subject seems to cite this  
18 belief that the exposure is occurring early in life offer  
19 greater sensitivity.

20 Nonetheless, it's relatively hard to find a good  
21 clear cut experimental demonstration why they have that  
22 believe. I think the answer is because it's a belief  
23 which was established, you know, probably 50 or 75 years  
24 ago, in the early stages of the development of the  
25 carcinogenesis literature. And people didn't necessarily

1 bother to document the basis of their beliefs quite so  
2 thoroughly as they do now.

3           PANEL MEMBER BLANC: Now, let me ask you another  
4 question about the preferential sensitivity of children  
5 involved to our discussion this morning about  
6 developmental effects and why that would be relevant to  
7 the issue at hand.

8           If a toxin, let's say, were a fairly potent  
9 carcinogen in adults and that was its major effect, and  
10 didn't seem to -- let's say children were resistant to  
11 that affect, hypothetically, of course, you know substance  
12 A. And then that substance also had a developmental  
13 effect, which you made the argument is an effect on  
14 children, if they survive to be born, would that overall  
15 make that chemical a priority in your view, even though  
16 it's other toxic effects were really more important in  
17 adults.

18           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
19 SALMON: I think one of the reasons why I personally think  
20 that this -- some of these findings are worth looking at  
21 further is illustrated by this slide here of the time  
22 course. It's possible to -- I haven't done the arithmetic  
23 here, so I couldn't tell you how exactly this would work  
24 out. But I think looking at this kind of situation where  
25 you have a narrow sensitive window and looking at this as

1 a specific developmental interference rather than perhaps  
2 a more general adverse health impact kind of thing.

3           You could have a situation where on the one hand  
4 perhaps steady ambient levels of pollutants such as  
5 Benzo[a]pyrene and other PAHs would probably -- that would  
6 be impacted, you know, in terms of the adult carcinogenic  
7 potency as a regulation say on the average -- the annual  
8 average level.

9           But to protect against an effect like this, you  
10 would need to have perhaps a protection against the  
11 short-term peaks. And, in fact, Dejmek at al. show that  
12 time course of exposure as being very episodic So it's  
13 possible that you would want actually the know about both  
14 effects and to have regulations framed to deal with both  
15 episodic peaks in the exposure, which might impact infants  
16 and/or fetuses at the specific phase of development,  
17 versus the adult impact, which would be more concerned  
18 with the annual average.

19           That would be one. I mean, I'm not saying that  
20 that's -- you know, that that doesn't prove anything, but  
21 it's -- it's a reason for wanting to be concerned about  
22 both types of end point.

23           SUPERVISING TOXICOLOGIST MARTY: I think there's  
24 another issue that we need to look into a little more.  
25 There was a paper at the toxicology meetings last month

1 that looked at a mechanistic reason for intrauterine  
2 growth retardation by PAHs.

3           And they found that the PAH that they used  
4 inhibited vascularization of the placenta. So that, to  
5 me, would be a strong mechanistic reason why you would  
6 have intrauterine growth retardation.

7           Now, it's just an abstract and I want to go back  
8 and talk to this person and see if she's published other  
9 papers, but we can try to develop that line of evidence  
10 also.

11           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
12 SALMON: There are things in the literature saying that  
13 this specific effect is related to placental development  
14 as it were, rather than anything else, but exactly I don't  
15 how much detail you want on that.

16           CHAIRPERSON FROINES: We have a history of  
17 focusing on PAHs, because they're products of incomplete  
18 combustion, so when you have one, you have others. And we  
19 develop -- there have been enough carcinogenicity worked  
20 on at least to indicate that at least a certain number are  
21 carcinogenic. And so we developed these relative potency  
22 scales.

23           Where we're looking at other effects,  
24 developmental or any other effects for that matter, it's  
25 not entirely clear to me that one can simply link quote

1 "PAHs", because for that abstract that she's talking  
2 about, do we know that that occurs across PAHs or do we  
3 know that it occurs in the PAH that they looked at and do  
4 we have evidence to indicate that it occurs in others?

5           So, for example, we look at pyrene as a  
6 noncarcinogen and we look at BAP as a carcinogen. We  
7 recognize that there are differences. So at some level  
8 this grouping everything under one umbrella has some  
9 potential dangers to, it seems so me, because on the one  
10 hand some of the data is with a specific PAH, but there's  
11 no evidence necessarily to indicate that it goes beyond  
12 that.

13           There is an assumption that it does, and, you  
14 know, from a control strategy, clearly it would be nice if  
15 everything was simple, but it's a bit of a problem.

16           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
17 SALMON: It's certainly not always easy to address that,  
18 but I think some of the evidence linking the various  
19 effects seen with the formation of DNA or protein adducts  
20 from PAHs at least tends to tie it together into a single  
21 mechanistic picture, which gives you some hope that the  
22 range of problems isn't too diverse.

23           CHAIRPERSON FROINES: Well, I think Peter also  
24 raised the question, if I understood it, that the  
25 formation of an adducts as we well know, does not indicate

1 a risk to cancer. It's a first step in what the long  
2 process.

3 PANEL MEMBER WITSCHI: Yeah, actually it's a good  
4 example as far as swapping adducts in liver, because it  
5 has been never been shown to be a liver carcinogen.

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
7 SALMON: Clearly it's not the whole story.

8 PANEL MEMBER BLANC: Well, I guess maybe we  
9 should move on to the next chemical.

10 CHAIRPERSON FROINES: Is everyone satisfied with  
11 the discussion to this point on PAHs that we can move on?

12 PANEL MEMBER BLANC: I'm satisfied that I  
13 understand the basis upon which you've made the conclusion  
14 that you've made. I think that's the purpose of it,  
15 right, is for me the understand the thinking on it, right?

16 PANEL MEMBER FUCALORO: I think your summary was  
17 fine.

18 CHAIRPERSON FROINES: Obviously, we're not going  
19 from their presentation immediately into the discussion of  
20 what we think. I think it's important for the panel to  
21 be -- each panel member to be thinking about the criteria  
22 that we want to use in addressing the chemicals that we  
23 think are important. In other words, we need to think  
24 about the questions that we want to ask ourselves, what  
25 are our criteria, what are our questions, because it's

1 going to come back on us at some point.

2           PANEL MEMBER FUCALORO: It's somewhat fortuitous  
3 that the next item the diesel exhaust, because diesel  
4 exhaust was a substance, or actually a combination of  
5 about 200 substances, that we designated as a toxic -- at  
6 least a particulate matter, which still has roughly a  
7 couple hundred substances.

8           And the PAHs is a collection of compounds. And  
9 one has to ask the question why are they connected? Are  
10 they connected in their production in the environment. In  
11 other words naphthalene the produced separately, right,  
12 naphthalene the produced separately so therefore that  
13 seems reasonable to at least consider that separately,  
14 because it is produced separately.

15           Whereas, the others may always be produced at the  
16 same time in complete combustion, isn't that the primary  
17 source of the rest?

18           So, in that regard, I guess the PAHs could be  
19 lumped together with the exclusion of naphthalene. You  
20 see, I'm trying the get a consistent way of looking at it.

21           Here, the particulate matter comes out from the  
22 diesel engines. So therefore you lump it together, but  
23 PAHs. There's a natural break with naphthalene, at the  
24 very least. There may be others. I don't know the  
25 chemistry as well.

1 SUPERVISING TOXICOLOGIST MARTY: Naphthalene the  
2 form during incomplete combustion.

3 PANEL MEMBER FUCALORO: But where is it mostly  
4 formed?

5 SUPERVISING TOXICOLOGIST MARTY: That's a  
6 question for the Air Board.

7 CHAIRPERSON FROINES: From everybody's moth balls  
8 in their closets.

9 (Laughter.)

10 PANEL MEMBER FUCALORO: I mean, it's a commercial  
11 product, isn't it?

12 SUPERVISING TOXICOLOGIST MARTY: It is.

13 PANEL MEMBER BLANC: Isn't naphthalene -- I guess  
14 this may be offbase, but isn't naphthalene also an inducer  
15 of methemoglobinemia. Where's our pediatrician? I mean,  
16 wouldn't that actually be an incredible tip in the scales  
17 based on your criteria?

18 SUPERVISING TOXICOLOGIST MARTY: It would be.

19 CHAIRPERSON FROINES: Naphthalene has some very  
20 powerful evidence for cataract formation.

21 PANEL MEMBER BLANC: I'm almost sure that  
22 naphthalene can induce methemoglobinemia because the old  
23 moth ball preparations, which no longer contain  
24 naphthalene. But in the old days, it was a major source  
25 of childhood congestion. And were that -- unless I'm

1 confusing two different -- is it naphthalene we're talking  
2 about. Naphthalene was in moth balls, correct?

3 CHAIRPERSON FROINES: Um-hmm.

4 PANEL MEMBER BLANC: Melanie, if that, indeed, is  
5 correct, then I would say that that would be an  
6 overwhelming reason why you'd have to treat it separately.

7 PANEL MEMBER GLANTZ: This report is a real work  
8 in progress, isn't it?

9 PANEL MEMBER BLANC: I'm serious though, because  
10 that would just drive --

11 SUPERVISING TOXICOLOGIST MARTY: Yeah, I can see  
12 where you would need the look at the toxicity separately,  
13 but in terms of listing it, if you list PAHs, then ARB has  
14 the look at all the sources of PAHs and deal with all the  
15 sources when they do risk management, which would  
16 encompass everything.

17 PANEL MEMBER BLANC: All I'll saying is it would  
18 be a complete slam dunk in terms of naphthalene, if it  
19 induces -- on top of everything else, if it induces  
20 methomoglobinemia.

21 PANEL MEMBER BYUS: What dose though, that's the  
22 key?

23 PANEL MEMBER BLANC: I don't think that it's a  
24 threshold, it's just a question of --

25 PANEL MEMBER BYUS: I'd be surprised.

1           PANEL MEMBER GLANTZ:  Why don't we go on to  
2 diesel.  We've sort of pounded PAHs pretty well.

3           CHAIRPERSON FROINES:  But there's nothing that  
4 requires us -- that says we cannot separate out a chemical  
5 if we think that it's relevant to do so.

6           (Thereupon an overhead presentation was  
7 presented as follows.)

8           SUPERVISING TOXICOLOGIST MARTY:  Diesel Exhaust  
9 Particulate was placed in Tier 2 in our assessment.  The  
10 evidence that we gathered about diesel exhaust particulate  
11 in terms of impacting children were that it contains PAHs  
12 so it's an important source of PAHs in the atmosphere, and  
13 you just heard our discussion of PAHs.

14           It is a component of PM 10.  We are concerned  
15 about PM 10 effects on asthma, including exacerbation of  
16 asthma.

17   --o0o--

18           SUPERVISING TOXICOLOGIST MARTY:  And also there  
19 are studies which have associated PM with infant and child  
20 morbidity and mortality.  There are a number of studies  
21 now showing evidence of enhanced allergenicity by diesel  
22 exhaust particulate.  And, of course, this is a form of  
23 immunotoxicity, which is one of our flags for concern for  
24 kids.

25           And then there is evidence we respiratory health

1 impacts in traffic studies. And, of course, we consider  
2 it a carcinogen.

3 --o0o--

4 SUPERVISING TOXICOLOGIST MARTY: Diesel exhaust  
5 particulate contains PAHs and nitro PAHs. We just heard a  
6 discussion on the developmental toxicity issue, including  
7 reduced birth weight and dysmorphogenesis. We're  
8 concerned that the fetus or neonate may be more  
9 susceptible to the genotoxic effects of PAHs.

10 PAHs undoubtedly contribute to the  
11 carcinogenicity of the diesel exhaust particulate, and  
12 they are bio available.

13 --o0o--

14 SUPERVISING TOXICOLOGIST MARTY: Diesel exhaust  
15 is also a source of PM 10. Actually, it's very small PM,  
16 so it's PM 2.5 or lower. And there are a number of  
17 studies that have associated PM 10 with exacerbation of  
18 asthma and bronchitis and wheeze in kids.

19 There are several studies now which have  
20 demonstrated an association between neonatal, infant and  
21 child mortality with both short-term episodic exposures to  
22 PM 10 and also with longer-term exposures to PM 10. There  
23 are studies associating decreased lung function in  
24 children with PM 10 exposures. And, in addition, children  
25 experience higher particle loads per unit lung surface

1 area than adults breathing the same concentration.

2 --o0o--

3 SUPERVISING TOXICOLOGIST MARTY: Immunotoxicity,  
4 as I mentioned earlier is a concern. It's one of our red  
5 flag toxic end points. And there are now a -- there's a  
6 growing database that's looking at enhancement of  
7 allergenicity by diesel exhaust particles. Intranasal  
8 installation studies have shown enhanced IgE response the  
9 aeroallergens, increased pro-inflammatory cytokines in the  
10 nasal lavage.

11 There's recent studies indicating that diesel  
12 exhaust particular enhances the development of new allergy  
13 in people who are atopic. And this has implications for a  
14 possible role in increasing asthma prevalence and  
15 implications in children in particular.

16 --o0o--

17 SUPERVISING TOXICOLOGIST MARTY: I just wanted to  
18 have a little bit of information for just a few of the  
19 many studies that are looking at this is use of enhanced  
20 allergenicity.

21 Diaz-Sanchez and colleagues in '97 published a  
22 paper where they looked add intranasal challenge with  
23 ragweed. And then 60 days later challenged them  
24 intranasally with ragweed plus diesel exhaust particulate.  
25 In both cases, they looked at the nasal lavage fluid to

1 look at impacts on different IgEs. And ragweed specific,  
2 IgE was elevated in the nasal lavage with diesel exhaust  
3 particulate plus ragweed relative to just the ragweed  
4 along. And that was highly statistically significant.  
5 They also found elevated IgG4. And they found altered  
6 cytokine production towards the pro-inflammatory  
7 cytokines.

8 --o0o--

9 SUPERVISING TOXICOLOGIST MARTY: Diaz-Sanchez et  
10 al in '99, the purpose of this paper was to look at  
11 whether you could induce a new allergy in atopic subjects  
12 and they used the keyhole Limpit hemocyanin in protein,  
13 which is a protein that you wouldn't normally be exposed  
14 to, certainly not by inhalation or intranasally, unless  
15 you're snorting Limpits.

16 They did a co-administration of diesel exhaust  
17 particulate with this KLH, and found IgE specific KLH, but  
18 they did not find that in the lavage fluid when they just  
19 used KLH alone without this co-administration in the  
20 diesel exhaust particulate intranasally.

21 They also found stimulated IgG4 production  
22 relative to just the keyhole Limpit hemocyanin alone. And  
23 then also increased allergy related cytokines in the  
24 presence of DEP relative to when the keyhole Limpit  
25 hemocyanin was given alone.

1 --o0o--

2 SUPERVISING TOXICOLOGIST MARTY: And the same  
3 group in 2000 published a paper where they found that  
4 diesel exhaust particulate enhanced clinical symptoms of  
5 allergy in people who were sensitive to dust mites. So  
6 they instilled the diesel exhaust particulate and  
7 challenged them with dust mite also.

8 They measured the histamine release that was  
9 about three times higher when the installation included  
10 the diesel exhaust particulate compared with just the  
11 allergen alone. They also looked at whether carbon black  
12 would have the same effect. And in this particular study  
13 it did not.

14 And they also looked at murine mast cell model to  
15 look at histamine release by a degranulation of the mast  
16 cells. And this was increased by dichloromethane extracts  
17 of the diesel exhaust particulate. And this implies a  
18 role of absorbed chemicals on the particulate in enhancing  
19 the allergenicity.

20 --o0o--

21 SUPERVISING TOXICOLOGIST MARTY: I did want to  
22 touch on some of the traffic studies that have been done  
23 in Europe that we're trying to evaluate respiratory  
24 symptomatology in lung function in kids in association  
25 with proximity the dense traffic.





1 time and find these differences as that changed?

2 SUPERVISING TOXICOLOGIST MARTY: It was  
3 cross-sectional looking one area to the next.

4 PANEL MEMBER FRIEDMAN: Did they control for  
5 exposure to environmental tobacco smoke?

6 SUPERVISING TOXICOLOGIST MARTY: Yes.

7 PANEL MEMBER FRIEDMAN: Because you know, you  
8 might think that lower socioeconomic status would be  
9 living close to the roads maybe smoke more and so on.

10 SUPERVISING TOXICOLOGIST MARTY: They did adjust  
11 for the confounders on this slide, age, gender ethnicity,  
12 smoke, presence of pets in the home, dampness of the home,  
13 number of people living in the home, whether or not there  
14 was a gas stove or other gas appliance and parental  
15 education.

16 In this study, there is a clear dose response  
17 between FEV1 and truck traffic density across the six  
18 communities that they looked at.

19 --o0o--

20 SUPERVISING TOXICOLOGIST MARTY: I did want the  
21 touch on Osterlee, another traffic study and done in '96  
22 in the Netherlands. this is again a cross-sectional study  
23 using within neighborhood comparisons. They evaluated  
24 prevalence of respiratory symptoms either ever or current.  
25 And they evaluated it in children zero to 15 years old and







1 studies.

2           PANEL MEMBER BLANC: I have a few questions then.  
3 First of all, the pieces that seems to be missing from the  
4 summary discussion on this section would be the explicit  
5 rationale for why something, which could act as an  
6 adjuvant in sensitization would be likely to  
7 differentially affect children.

8           So I think there needs to be some series of  
9 statements there with whatever supporting literature you  
10 can that probably would be referenced to the epidemiology  
11 of childhood asthma in terms A2PNIG sensitization and why  
12 something which could induce sensitization would likely --  
13 that this would likely be a target population.

14           Secondly, I don't really understand some of the  
15 organizational aspects of the summaries, because you have  
16 on page five, six and seven, for example, of the section,  
17 you have summary of key -- so you start off with  
18 carcinogenicity.

19           Then you, B, other effects. And then the next  
20 page it's potential for differential effects. You have,  
21 A, carcinogenicity, B, general effects, and C  
22 immunological and respiratory effects. Now, in the  
23 immunologic and respiratory effects that where this  
24 discussion would happen, but in B which the general  
25 effects, you have a lot of stuff about asthma. And then,

1 again, in respiratory effects, you have stuff about  
2 asthma, so I don't really -- it was confusing the logic of  
3 that, it didn't parallel the other sections. So I would  
4 just do the noncarcinogenic or however you're going the do  
5 it be logical about it.

6 SUPERVISING TOXICOLOGIST MARTY: Sure.

7 PANEL MEMBER BLANC: You seem to -- I don't think  
8 that you can use the Thirsten citation 2000 as you have,  
9 since it refers to an internal document prepared for  
10 OEHHA, so it's not even is the use of citing a review  
11 article, it's even worse than that.

12 SUPERVISING TOXICOLOGIST MARTY: Where is that?

13 PANEL MEMBER BLANC: It's on page six, middle  
14 paragraph, "These effects are particular seen for  
15 asthmatics and those with other existing respiratory and  
16 cardiovascular diseases, especially the Elderly Thirsten  
17 2000. And then Thirsten 2000 is particulate matter in  
18 sulfate evaluation of the current California air quality  
19 standards with respect to protection of children prepared  
20 for the California Air Resources Board.

21 DR. LIPSETT: You're concerned about that is that  
22 it hasn't been peer reviewed?

23 PANEL MEMBER BLANC: Yeah, how am I supposed to  
24 know what that is? Am I supposed to go to the library and  
25 find that?

1 DR. LIPSETT: Well, it is on our web site. It  
2 was part of a review that Dr. Thirsten did for us as part  
3 of the SB 25 process dealing with the criteria pollutant  
4 prioritization. And perhaps the web address for this  
5 ought to be included in here if it's not.

6 CHAIRPERSON FROINES: Unless I'm mistaken --

7 DR. LIPSETT: It was also included in the  
8 responses to some of the comments too.

9 SUPERVISING TOXICOLOGIST MARTY: Yes, it is. And  
10 this document was actually peer reviewed by a panel that  
11 included a large number of people.

12 PANEL MEMBER BLANC: Well, perhaps what you  
13 suggest as an option is putting this on the web address if  
14 it's been electronically published.

15 CHAIRPERSON FROINES: Is what's in here the full  
16 document?

17 SUPERVISING TOXICOLOGIST MARTY: No.

18 CHAIRPERSON FROINES: That looks like a much  
19 thicker document.

20 DR. LIPSETT: Yeah. Well, this document here  
21 which was done for the criteria pollutant process includes  
22 reviews for the other criteria pollutants as well. I  
23 think the only one that's included in the comments,  
24 Melanie, you can correct me if I'm wrong about this, is  
25 Dr. Thirsten's report, which is one of several that's in

1 here.

2           PANEL MEMBER BLANC: But, for example, in the  
3 methylene chloride discussion, you don't cite carbon  
4 monoxide Criteria review, I suppose?

5           Okay. The Diaz-Sanchez I mean you presented a  
6 lot of sides, and of course that's very important and  
7 relevant work. You might want to check the Diaz 2000  
8 reference, the Diaz-Sanchez doesn't appear to be in the  
9 reference list in the back, although you do cite it.

10           SUPERVISING TOXICOLOGIST MARTY: Sorry. There's  
11 actually many more we could have put in here on that same  
12 issue.

13           PANEL MEMBER BLANC: Well, yeah, and of course  
14 obviously you want to cite other people's work too. And  
15 although you do have two of the -- or at least -- well, I  
16 believe two of the Japanese papers. There's essentially  
17 been a sort of flurry of these papers from Japan, and I  
18 think they should be cited.

19           SUPERVISING TOXICOLOGIST MARTY: Okay.

20           PANEL MEMBER BLANC: And double check those to  
21 see that there isn't something, in fact, that would be age  
22 relevant, because there's been so much on this. I would  
23 wonder if by now somebody hasn't done something that would  
24 be -- so that you weren't completely relying on, you know,  
25 the logic of it. There was some direct evidence to the

1 extrapolation, but certainly a plausible argument, but it  
2 would be nice.

3           Now, let me ask you another question in terms of  
4 the contribution to nonpoint source PAHs from diesel, as  
5 the percentage?

6           SUPERVISING TOXICOLOGIST MARTY: I think we have  
7 something about that in our response the comments and I  
8 can't remember what we said off the top of my head.

9           PANEL MEMBER FUCALORO: You mean mobile sources?

10          PANEL MEMBER BLANC: Yeah. Is it five percent?  
11 Is it 20 percent.

12          PANEL MEMBER BYUS: It's eight percent or  
13 something in the letter that was sent in response.

14          PANEL MEMBER FUCALORO: EMA said eight percent?

15          PANEL MEMBER BYUS: Something like that. It's in  
16 their comments.

17          SUPERVISING TOXICOLOGIST MARTY: I think that was  
18 the percent contribution to PM, not the percent  
19 contribution the PAH.

20          PANEL MEMBER BYUS: Oh, that's right.

21          SUPERVISING TOXICOLOGIST MARTY: John is telling  
22 me that there is not a good estimate percent contribution  
23 to atmospheric PAH.

24          PANEL MEMBER BLANC: Well, my follow-up thought  
25 on that would be that let's assume that it was a

1 biologically meaningful proportion of the PAHs were from  
2 diesel particulate, included in diesel particulate, and  
3 then you were going to argue that -- so that it has all of  
4 the attributes that you've just made the arguments about  
5 PAH.

6           And then in addition to that it has all of this  
7 asthmagenic or allergenic potential, wouldn't the logic be  
8 there for that it would somehow have to outrank PAHs no  
9 matter how you did it?

10           PANEL MEMBER FUCALORO: PAH plus?

11           SUPERVISING TOXICOLOGIST MARTY: Well, we ended  
12 up putting it into Tier 2, primarily because the pieces of  
13 evidence we had were indirect. They were all pretty big  
14 arrows pointing to diesel exhaust particulate, but they --

15           PANEL MEMBER BLANC: Well, certainly the  
16 arguments in terms of PAHs are no less indirect than PAH.  
17 So anything that you have beyond a PAH effect would  
18 certainly be supplemental to that, wouldn't it?

19           SUPERVISING TOXICOLOGIST MARTY: For example, we  
20 didn't have good studies on teratogenicity of PAHs or  
21 developmental -- I'm sorry -- teratogenicity or  
22 developmental toxicity of diesel exhaust, but we did -- we  
23 had a few. We had two, but we had more studies on  
24 teratogenicity and development toxicity of PAH.

25           PANEL MEMBER GLANTZ: Yeah, but you know, if you

1 look at just the stuff that you presented today in terms  
2 of differential effects on kids, I think you showed  
3 stronger evidence here for diesel exhaust than PAHs. I  
4 mean that's the way it looks to me. Do you want to --

5           PANEL MEMBER FUCALORO: I mean think about it.  
6 It's a plausible statement I think.

7           SUPERVISING TOXICOLOGIST MARTY: Well, I guess  
8 then why would you want to remove PAH and not --

9           PANEL MEMBER BLANC: Well, that's a separate  
10 argument.

11           PANEL MEMBER GLANTZ: Yeah, that's a separate  
12 question.

13           PANEL MEMBER BLANC: That's a separate argument  
14 about whether or not they would both be in the top five or  
15 neither would be in the top five. I was asking the  
16 question, logically, how could PAHs be in the top five and  
17 diesel not be in the top five from your point of view,  
18 based on your --

19           SUPERVISING TOXICOLOGIST MARTY: Just the  
20 directness of the studies, that we had studies of PAH in  
21 humans. We have it in animals. We have it in  
22 developmental types.

23           PANEL MEMBER BLANC: But you don't have any doubt  
24 that PAHs aren't in diesel, do you?

25           SUPERVISING TOXICOLOGIST MARTY: I'm sorry.

1 PANEL MEMBER BLANC: You don't have any doubt  
2 that PAHs are in diesel particulate?

3 SUPERVISING TOXICOLOGIST MARTY: In diesel, no we  
4 don't have any doubt about that.

5 So you're saying that there's more than one end  
6 point relevant to children, so why doesn't that  
7 outweigh --

8 PANEL MEMBER BLANC: Right. And If I am to  
9 accept your argument for PAHs, then I have to apply all of  
10 that argument to diesel and then anything else in addition  
11 to that that you could come up with regarding diesel.

12 SUPERVISING TOXICOLOGIST MARTY: Well, there's  
13 actually an interesting twist to this whole discussion,  
14 and that is that there are some pieces of evidence showing  
15 that the enhanced allergenicity by diesel exhaust  
16 particulate might be from the PAH content of the  
17 particles.

18 PANEL MEMBER BLANC: Perhaps.

19 PANEL MEMBER FUCALORO: That doesn't vitiate the  
20 argument.

21 CHAIRPERSON FROINES: I think she means it  
22 supports it.

23 PANEL MEMBER BLANC: No, it doesn't.

24 SUPERVISING TOXICOLOGIST MARTY: Yeah. So it  
25 would support both. It would support diesel being listed,

1 and it would support PAH being listed.

2 CHAIRPERSON FROINES: But I think --

3 PANEL MEMBER FUCALORO: No, all it supports is a  
4 reordering. It doesn't know what comes in Tier 1. They  
5 both may be in Tier 2, but it orders it. Isn't that what  
6 you were saying?

7 PANEL MEMBER BLANC: I'm just saying that based  
8 on what you've presented and what --

9 PANEL MEMBER FUCALORO: Yeah, it would support a  
10 reordering.

11 PANEL MEMBER BLANC: At a minimum, one would have  
12 to go before the other. Now, maybe both of them would  
13 make it into the top five. Maybe neither of them would,  
14 you know, exceed, but I fail to see the logic of including  
15 PAHs in the top five and excluding diesel from the top  
16 five. If we accept the rationale for PAHs, don't we have  
17 to apply that rationale to diesel and then look at what  
18 else you have for diesel over and above that?

19 SUPERVISING TOXICOLOGIST MARTY: If that's what  
20 you folks want to us to do --

21 PANEL MEMBER FUCALORO: No, no, no that's not  
22 what --

23 CHAIRPERSON FROINES: Melanie, at this point, I  
24 think what you should do is say thank you --

25 SUPERVISING TOXICOLOGIST MARTY: Yes, I should

1 say thank you.

2           CHAIRPERSON FROINES: -- because what he's  
3 raising and what Stan is raising and what Tony is raising  
4 are basically issues that we're going to have to decide on  
5 the panel about how we think about this is use. And so  
6 for him to ask you the question is to help clarify it for  
7 the panel's benefit, but you're now in a position where  
8 it's reasonable to give it to us and say you folks decide  
9 how you think about this.

10           PANEL MEMBER GLANTZ: Can I ask a couple  
11 questions?

12           I got from Jim Bearum via E-mail the letter from  
13 the engine manufacturers association, where they did take  
14 exception to some of the arguments in the earlier report.  
15 And, you know, I know this came in late, and so there  
16 wasn't the usual kind of formal response, but I would be  
17 interested in hearing what you guys had to say about the  
18 specific objections that they make, particularly the  
19 stuff -- well, the pages aren't numbered.

20           But they have a sort of general introduction, but  
21 then they list, I think, five specific points, which  
22 differ pretty substantially from the argument you guys are  
23 making, you know, for. And I think it would be -- I'd be  
24 very interested in just hearing what are your responses to  
25 the specific criticisms that they've raised.

1           SUPERVISING TOXICOLOGIST MARTY: Okay. Actually,  
2 we read that letter and we've prepared some responses to  
3 those particular criticisms.

4           The first comment is basically that health  
5 effects associated with PM 10 or PM 2.5 cannot be  
6 specifically attributed to diesel particulate matter. And  
7 that we incorrectly attribute health impacts associated  
8 with PM 10 or PM 2.5 to diesel exhaust PM, and that the  
9 associations between PM and cardiovascular events,  
10 hospital visits and even deaths are tentative, and that  
11 diesel exhaust particulate only contributes a small  
12 portion of PM 10 and PM 2.5.

13           So, I mean, our response is first that there are  
14 dozens if not hundreds of studies linking PM 10 and PM 2.5  
15 to cardiovascular and respiratory and morbidity and  
16 mortality. And we would not call that a tentative,  
17 association. Rather it's robust and many, many studies  
18 with statistically significant effects and it's consistent  
19 across studies. So we don't agree at all that there's  
20 tentative associations between PM 10 and health effects.

21           Secondly, we did not suggest that diesel exhaust  
22 particulate matter was the singular predominantly or  
23 unique cause of any health effects of PM as stated in the  
24 comment, but rather that diesel exhaust particulate matter  
25 is a component of PM that's been measured in the studies

1 associating PM with the health impacts.

2           We would also say that mechanistic data indicate  
3 that diesel exhaust particulate matter exerts specific  
4 affects on the immune system as noted in the last set of  
5 slides. That's not necessarily shared by other PM  
6 components like Crystalline silica. That was shown in a  
7 study by Z-i-j-b-e-r-d-e-n et al 2000 and that these  
8 enhance allergenic effects could lead to the exacerbation  
9 of allergic rhinitis and very possibly asthma.

10           And then, of course, since the prevalence of  
11 asthma the higher in kids that's a flag for concern for  
12 kids.

13           The second comment.

14           DR. LIPSETT: Melanie, could I interrupt --

15           SUPERVISING TOXICOLOGIST MARTY: Sure.

16           DR. LIPSETT: -- and amplify that comment a  
17 little bit. There are actually several cities where some  
18 of these PM studies have been done where the predominant  
19 contributor to PM is diesel. And London is one of those  
20 cities. Santiago is another where you might have as much  
21 as 80 plus percent of particulate during much of the year  
22 due to diesel exhaust. So there are at least certain  
23 instances where these PM studies have been done linking PM  
24 to mortality and morbidity, where the primary constituent  
25 really is diesel.

1           CHAIRPERSON FROINES: I think that that's  
2 important to document. I, frankly, have some trouble with  
3 the notion that PM diesel is a component of PM 10,  
4 therefore diesel fits this criteria. I actually don't buy  
5 it. And as everybody knows there are differences in  
6 particle size, distribution and particle number and a lot  
7 of different variables that need to be considered in this.

8           And we're all -- the people in this little round  
9 table here are all familiar with the various issues. And  
10 I think it's a stretch to say that because diesel the  
11 constituent of PM 10, therefore there is a differential  
12 susceptibility in children as demonstrated by various  
13 studies.

14           And I'll give you one reason I say that is at the  
15 last external advisory committee meeting to John Peters  
16 Children's Health Study, Jonathan Sammut, who we all know,  
17 said that John Peters after ten years of investigation has  
18 now demonstrated that air pollution has effects on  
19 children.

20           And that's good, showing chronic effects in  
21 children is important, but that did not -- what Jonathan  
22 was saying is that we don't know, in fact, what causes  
23 those chronic effects in children, so I don't think that  
24 we should say here anything that goes beyond that  
25 conclusion either.

1           PANEL MEMBER FRIEDMAN: Are you suggesting that  
2 if 80 percent of the PM 10 in a city that's causing these  
3 problems is the proportion from diesel exhaust that we  
4 have to raise a question as to whether the whole effect is  
5 due the other 20 percent from other sources?

6           CHAIRPERSON FROINES: No, but I'm also saying  
7 that there are studies in the east coast of the United  
8 States that have very high sulfate levels that one could  
9 make similar arguments to. So I think one has to be  
10 careful -- I mean, I think it's important for Michael to  
11 document the 80 percent, but there are a whole series of  
12 studies with very different characteristics of the  
13 particulate matter that shows these kinds of findings.

14           So it's very important not to overreach in terms  
15 of trying to identify that piece, and say okay in  
16 Philadelphia it's caused by sulfate, and in Boston it's  
17 caused by something else and in Chile it's caused by  
18 something else. I don't think you can draw a conclusion  
19 that the studies that we all are familiar with demonstrate  
20 that diesel is the culprit or plays a fundamental role.

21           I, basically, think it probably does, but I'm  
22 talking about what the level of proof that we have in this  
23 respect.

24           PANEL MEMBER FRIEDMAN: If it's 80 percent of the  
25 substance in question, then don't you think you can point

1 the finger at --

2 CHAIRPERSON FROINES: No, I think that you have a  
3 whole series of studies with very different amounts of  
4 diesel contributing to the particulate and you don't know.  
5 We don't -- I don't think we know.

6 PANEL MEMBER GLANTZ: I think Gary is making it,  
7 thank you for talking.

8 PANEL MEMBER FRIEDMAN: I always need Stan to  
9 explain what I'm saying. I bring him along.

10 PANEL MEMBER GLANTZ: Well, no, but I mean I  
11 agree with what he's saying though, as I understand it, if  
12 the diesel exhaust is contributing most of the PM 10,  
13 then -- or PM 2.5, then that's the problem.

14 CHAIRPERSON FROINES: It's a bit of a  
15 misstatement by Michael to emphasize the 80 percent in  
16 Chile. When you take all the data that have been  
17 developed in the six studies and other associated studies  
18 to pick out Chile and say 80 percent the leave aside an  
19 enormous database that we have to work with.

20 PANEL MEMBER GLANTZ: Well, what does Michael  
21 have to say about that.

22 DR. LIPSETT: I think that the only point I was  
23 trying to make was that if -- to the extent that  
24 particles seem to be associated with morbidity and  
25 mortality in a variety of different urban locations

1 throughout the entire world, that in areas where you see a  
2 high proportion of diesel, relative to the other kinds,  
3 you see basically similar kinds of effects, I think it's  
4 not unreasonable to attribute to the diesel particles,  
5 the same kinds of effects you would attribute to particles  
6 anywhere else.

7           SUPERVISING TOXICOLOGIST MARTY: That was the  
8 point of our discussion.

9           PANEL MEMBER BLANC: And I think it's  
10 reasonable -- I think both points are well taken, that is  
11 to say make sure in the revision of the section that that  
12 point was made. And, secondly, I think that based on your  
13 presentation and on the written section, I wouldn't say  
14 that the PM 10 component is overly emphasized. It's  
15 alluded to, and it's put in its place, but it's not  
16 driving your diesel section. It would appear, based on  
17 the information you have.

18           So I would take both strategies. One, I would  
19 make sure that it's not overstated. I don't think it is  
20 particularly, but two to the extent that there is  
21 epidemiologic evidence that in areas where the PM 10 is  
22 dominated by diesel, those areas are not protected by that  
23 effect. Therefore, there's no reason to think that diesel  
24 acts any better or worse than any other generic polluted  
25 ambient source of binding, particularly to the extent that

1 if diesel were equal to all other particulates to the  
2 extent that it tends to be even more predominant a  
3 component 2.5 and to the extent that PM 2.5 maybe more  
4 important for certain outcomes, that it would relatively  
5 be more important not less important.

6           PANEL MEMBER FRIEDMAN: If I can draw an analogy.  
7 If we find, say, that cigarette smoke -- well let's forget  
8 about ETS but cigarette smoke to the smoker is causing a  
9 variety of harmful effects and in one city, you know, 80  
10 percent of the smokers smoke Marlboros, where in another  
11 city 80 percent of smokers smoke Camels, you can't say  
12 well we have no evidence that it's really Marlboros that  
13 are harmful.

14           You know, I think if you think of that analogy,  
15 that's what I'm trying to say about diesel exhaust in some  
16 areas the main source of particulates. Well, I think we  
17 have to worry that diesel exhaust the harmful.

18           CHAIRPERSON FROINES: Well, I don't think there's  
19 any question about that. But the National Academy of  
20 Sciences has written three volumes in the past years that  
21 raise the question of the causal factors associated with  
22 all the cardio respiratory diseases that's being discussed  
23 today.

24           There are five centers in the United States that  
25 are studying the problem. There is a major, major

1 research effort trying to look at the underlying factors  
2 associated with cardio respiratory disease derived from  
3 particulate. And I think it's a bit glib to say that it  
4 is the diesel proportion of PM 10 that's causing all of  
5 those factors.

6 PANEL MEMBER FRIEDMAN: That's not what we're  
7 saying.

8 PANEL MEMBER FUCALORO: That's not what he's.  
9 He's saying that at the very least, there's certainly  
10 other sources of PM 10 that are dangerous, but at the very  
11 least, because of the Santiago data, that diesel  
12 contributes its share.

13 PANEL MEMBER BLANC: John, can I check in with  
14 you, as Chair. How many more of those are we going  
15 through, because somebody's going the need a break soon  
16 including me.

17 CHAIRPERSON FROINES: We are going to be able to  
18 go through maybe one more.

19 SUPERVISING TOXICOLOGIST MARTY: Should I finish  
20 the comments?

21 PANEL MEMBER GLANTZ: Why don't we take another  
22 three hours and finish the last couple of comments or  
23 however long it takes. That was a joke for the record.

24 (Laughter.)

25 PANEL MEMBER FUCALORO: I wasn't smiling, Stan.

1 (Laughter.)

2 PANEL MEMBER GLANTZ: Well, but I think these are  
3 important points that I think we need to hear about. Why  
4 don't we try to do that and have a break. Is that  
5 okay?

6 There's only two more or three more.

7 PANEL MEMBER FUCALORO: Well, what are you  
8 suggesting, Stan?

9 PANEL MEMBER FUCALORO: I'm just suggesting to  
10 let Melanie and her people finish giving us their  
11 responses to this letter and then we can --

12 PANEL MEMBER FUCALORO: Prior to that, we really  
13 need to know the reporter when he needs a break, because  
14 there are some rules I know that regulate that.

15 CHAIRPERSON FROINES: Melanie, how long are you  
16 going to take to finish this?

17 SUPERVISING TOXICOLOGIST MARTY: Ten minutes.

18 CHAIRPERSON FROINES: Then let's take a break.

19 (Thereupon a short recess was taken.)

20 CHAIRPERSON FROINES: Okay, Melanie.

21 SUPERVISING TOXICOLOGIST MARTY: The second is  
22 the comments from EMA. The second comment indicated that  
23 the relationship between asthma and diesel exhaust  
24 particulate matter is not known and OEHHA's contention  
25 that diesel exhaust particulate matter demonstrates immune

1 system effects that uniquely result in exacerbation of  
2 asthma is not proven by scientific evidence, and it goes  
3 on to describe that asthma is a complicated disease with  
4 lots of different factors that influence it.

5           And although there is evidence in this current  
6 literature indicating that increased levels of air  
7 pollution may exacerbate asthma, much work needs to be  
8 done to determine which substances might be the more  
9 important or might play a role. An expression of asthma  
10 symptoms may be, at best, associated with a wide variety  
11 of air pollutants, and certainly have not been shown to be  
12 specific to diesel exhaust particulate matter.

13           And our response to that is we're not really  
14 stating the document that asthma is caused by diesel  
15 exhaust, rather we're arguing that diesel exhaust exposure  
16 exacerbates immune system response to aeroallergens, this  
17 could, in fact, exacerbate asthma. And because it also  
18 causes new allergies in atopic people, it might, in fact,  
19 be a factor in increasing prevalence of asthma.

20           We're arguing with the respect to asthma more  
21 that we have many studies which show an association  
22 between PM 10 and PM 2.5 exposure and asthma exacerbation.  
23 So, as such, diesel exhaust particulate matter, which is a  
24 particle of the PM 10 and 2.5 can be associated with  
25 exacerbation of asthma.

1           And, yes, it is true that there are probably  
2 additive or interactive effects of hall these different  
3 pollutants, but the statute requires us to consider that  
4 in addressing which chemicals get on the list. So it's  
5 still important the consider exacerbation of asthma by  
6 diesel exhaust particulate matter.

7           CHAIRPERSON FROINES: Can I make one comment  
8 about that, and this reflects something that Paul said  
9 earlier. I actually think that there is a very large  
10 database on diesel and exacerbation of asthma and other  
11 immunologic effects. And just to reemphasize his point,  
12 what I'd like you to do if you would, would be to -- I  
13 brought about 30 papers with me today, and there's at  
14 least 50 that one could include.

15           Your document tends to emphasize David  
16 Diaz-Sanchez's work. There's the Japanese work. There's  
17 French work. There's Scandinavian work. There's British  
18 work and so on and so forth. So I would -- I think this  
19 is an extremely important argument, and so I think adding  
20 some of the literature to the document would be very  
21 helpful, precisely because it is often times diesel  
22 specific rather than PM 10 or PM 2.5.

23           SUPERVISING TOXICOLOGIST MARTY: Sure. We also  
24 have the truck traffic studies which measure respiratory  
25 impact in kids from -- that were correlated the black

1 smoke from truck traffic and correlated to truck traffic  
2 things so not just to general traffic, so that's another  
3 piece of evidence.

4 CHAIRPERSON FROINES: I think the Brunekreef work  
5 is important to emphasize and the adjuvant effects the  
6 second.

7 SUPERVISING TOXICOLOGIST MARTY: The third  
8 comment the that OEHHA incorrectly argues that diesel  
9 exhaust particulate matter uniquely demonstrates enhanced  
10 allergenicity. And that we cited a lot of David  
11 Diaz-Sanchez's work, but while he does demonstrated some  
12 response, there is little evidence to date to say that  
13 diesel exhaust particulate matter is unique in the regard.

14 And the comment goes on to point out other  
15 substances that enhance allergic end points such as  
16 environmental tobacco smoke, vliage, phenat 3,  
17 Benzo[a]pyrene and TCDD. And our response is that the  
18 comment implies that we state other PM models do not  
19 elicit immune modulatory responses, and, in fact, we make  
20 no such generalizations.

21 We do make the point that diesel exhaust  
22 particulate is not just a contributor to ambient PM 10 and  
23 PM 2.5 and therefore to PM health effects, but that it is  
24 also associated in this other body of literature with  
25 enhanced allergenicity and that there's a considerable

1 body of evidence in that regard.

2           And then we go the point out that in some studies  
3 neither carbon black nor Crystalline silica produced  
4 responses. Although, in one study carbon black had some  
5 immunomodulatory role, it was different than diesel  
6 exhaust particulate.

7           And also it's a mistake to attribute the same  
8 types of enhanced allergic end points to across the Board  
9 the other PAHs an to TCDD, so it's not necessarily  
10 globally attributable to all PAHs or to the AH receptor  
11 lag based on toxicity information on those compounds.

12           And, yes, other things have in PM may exacerbate  
13 asthma, but that doesn't mean that therefore diesel  
14 exhaust does not.

15           And then there was a comment on the fact that we  
16 didn't take into account the risk reduction plan to reduce  
17 particulate matter emissions from diesel fueled engines in  
18 vehicles. And in our view that's irrelevant to the  
19 process that we're doing of listing health impacts -- or  
20 listing TACs that have health Impacts on infants and  
21 children. That's basically the gist of it.

22           PANEL MEMBER BLANC: Do you want to go on to the  
23 next substance.

24           SUPERVISING TOXICOLOGIST MARTY: We can go on to  
25 the next substance.

1 CHAIRPERSON FROINES: I was just waiting, because  
2 I thought Michael was going to make a comment.

3 DR. LIPSETT: Okay. Well, this if the panel  
4 wants to hear anything more. I was prepared to say a  
5 little bit more about the adjuvants effects of diesel  
6 exhaust on expression of allergy and these series of  
7 studies that have been done. I don't know if you're  
8 convinced already by the presentation and would rather  
9 just, in the interests of time, move on or if you'd like  
10 to take a few minutes to go over some of this.

11 PANEL MEMBER GLANTZ: I wouldn't mind hearing  
12 some of it.

13 DR. LIPSETT: You would or would not.

14 PANEL MEMBER GLANTZ: I think it would be  
15 helpful.

16 PANEL MEMBER FRIEDMAN: Excuse me. John has  
17 already said he has got multiple studies. I would tend to  
18 prefer moving on given the lateness of the hour. I don't  
19 know, maybe we should vote on it.

20 PANEL MEMBER BLANC: Well, let me ask the same  
21 question a different way. The material that you would be  
22 prepared to present now will be included in the modified  
23 version of the section that's the intent.

24 DR. LIPSETT: Yes.

25 PANEL MEMBER BLANC: And it expands on other

1 studies beyond the Diaz study?

2 DR. LIPSETT: Yes.

3 PANEL MEMBER BLANC: Are there any studies in  
4 what you're going to present which would have looked at  
5 adjuvants effects preferentially in younger versus older  
6 test animals or humans?

7 DR. LIPSETT: Not in humans. And actually in the  
8 test animals that would be for one of the toxicologists to  
9 address. I'm not aware of any specifically that address  
10 that.

11 CHAIRPERSON FROINES: My only question in terms  
12 of resolving this is use the quickly as possible is are we  
13 going the get something new between now and the next  
14 meeting for the panel to look at? And if not, I'd like  
15 Michael just to give us your point of view the panel has  
16 some sense of what the issue is about. If we're going to  
17 get something in writing then we can go ahead, but if not,  
18 I think it might be useful to take less than five minutes  
19 hopefully.

20 SUPERVISING TOXICOLOGIST MARTY: Why don't we  
21 just have Michael five a five-minute overview.

22 CHAIRPERSON FROINES: Gary, do you mind?

23 PANEL MEMBER FRIEDMAN: That's fine, if it's  
24 short like that.

25 CHAIRPERSON FROINES: I'm just worried that

1 between now and the next meeting if there's nothing that  
2 we received, we'll be left with what we already have.

3 DR. LIPSETT: Okay, Melanie has already mentioned  
4 this series of cross-sectional studies that suggest  
5 increases in allergic rhinitis, wheeze, asthma in children  
6 living near busy roads, particularly in instances where  
7 there's self-reported high truck traffic.

8 In addition, in Japan there is a study that  
9 suggests that people living on busy roads in urban  
10 areas have a higher rate of allergy to cedar than in  
11 people who live further away or in more rural areas. Now  
12 as Gary and Stan and Paul and others recognize, these are  
13 not necessarily causal because of their cross-sectional  
14 nature you can't necessarily draw a causal inference, but  
15 they're suggestive of relationships certainly between  
16 diesel exhaust and the expression of allergy.

17 Now, with respect to childhood asthma, about 85  
18 to 90 percent of it is related to allergy. And this whole  
19 series of studies, not only the UCLA studies, but the ones  
20 in Japan and the UK have shown a variety of effects on the  
21 expression of allergy with diesel exhaust alone acting to  
22 increase the expression much IgE, which is the allergy  
23 specific antibody as well as IgG4. In both humans and  
24 animals, you see a dose response kind of relationship,  
25 with intranasal installation in humans and for a variety

1 of different methods of administration in animals.

2           Now, there's a very clear synergy also when  
3 diesel exhaust is administered with allergen that you get  
4 up to 16-fold greater expression of the allergen specific  
5 IgE over that produced by exposure just to the allergen  
6 alone. In addition to which, you see a, within say a  
7 nasal lavage fluid, skewing of the cytokine profile that's  
8 expressed to one that's very typical of allergy and away  
9 from the sort of nonallergic cytokine profile that you see  
10 either just with the expression -- or with administration  
11 of allergen alone.

12           Now, in addition, diesel exhaust particles have  
13 been administered in a controlled exposure study to human  
14 volunteers in England and with some Scandinavian  
15 investigators and show a very vigorous kind of  
16 inflammatory response. And in animals that are exposed to  
17 diesel exhaust through inhalation or installation on a  
18 chronic basis, you see clear signs of a allergic  
19 inflammation and bronchial hyper-responsiveness, both of  
20 those things being hallmarks of allergic asthma.

21           So while none of these studies individually  
22 would, you know, provide causal evidence that diesel is  
23 responsible for causing allergy or asthma, they provide a  
24 very compelling kind of picture that diesel exhaust  
25 particles play a significant role in the enhancement of

1 the allergic response.

2           And again because allergy is so common in kids  
3 and allergic asthma is what predominates in children, I  
4 think these are a whole series of studies that would be  
5 important the include in the next version of the document.

6           CHAIRPERSON FROINES: Thank you.

7           SUPERVISING TOXICOLOGIST MARTY: Okay.

8           CHAIRPERSON FROINES: We're going the stop at  
9 4:00.

10          SUPERVISING TOXICOLOGIST MARTY: Okay, we have --

11          CHAIRPERSON FROINES: Pick the shortest one you  
12 can.

13          PANEL MEMBER BLANC: By the way, you might also  
14 want to mention, at least in passing in the section, that  
15 allergic rhinitis not a trivial source of morbidity in the  
16 population. So that even if one didn't develop lower  
17 respiratory --

18          DR. LIPSETT: I'm sorry?

19          PANEL MEMBER BLANC: Even if one didn't develop  
20 lower respiratory systems.

21          PANEL MEMBER FRIEDMAN: Are you referring to  
22 prevalence or severity or for what?

23          PANEL MEMBER BLANC: Not on prevalence but  
24 actually quality of life. I means it depends on how you  
25 measure it. It doesn't result in hospitalization, but if

1 you look at other measures of health status, it's not  
2 trivial.

3 CHAIRPERSON FROINES: Thanks, Michael.

4 DR. LIPSETT: Thank you.

5 SUPERVISING TOXICOLOGIST MARTY: We're going the  
6 it's the fastest one left. Dr. Dave Morry is going to be  
7 presenting the information.

8 DR. MORRY: I'm going the talk about why we  
9 included vinyl chloride in the top 11, but in Tier 2  
10 rather than in the top five.

11 (Thereupon and overhead presentation was  
12 presented as follows.)

13 DR. MORRY: For vinyl chloride there strong Data  
14 from animals that shows that exposures early in life  
15 result in a higher tumor yield and also more DNA adducts  
16 than exposures that occur later in life, that are given  
17 later in life.

18 Vinyl chloride is a human carcinogen we know from  
19 occupational studies. However, the exposures -- there are  
20 not lot of ambient exposure to vinyl chloride, rather it's  
21 a sort of a spot problem that occurs near hazardous waste  
22 landfills and some other things like that.

23 So the third bullet up there is the reason why  
24 it's not included in the top 5.

25 --o0o--

1 DR. MORRY: There is quite a few studies that  
2 demonstrate differential effects of vinyl chloride. The  
3 three I'm going the talk about are first of all the Drew  
4 study of 1983, which is really the key study, and then  
5 there's two by the late Maltoni and others from '81 and  
6 '88 that I'll also discuss.

7 Next slide.

8 --o0o--

9 DR. MORRY: The key study is this one by Drew et  
10 al., the effect of age and exposure duration on cancer  
11 induction by a known carcinogen in rats mice and hamsters.

12 Next slide.

13 --o0o--

14 DR. MORRY: This was a study of vinyl chloride by  
15 the inhalation route in rats, hamsters and two strains of  
16 mice, of female mice. And the exposure levels were --  
17 there was one exposure level for each species, 100 parts  
18 per million by inhalation for the rats, 50 parts per  
19 million for the mice, and 200 parts per million for the  
20 hamsters.

21 --o0o--

22 DR. MORRY: Okay. The overall design of the  
23 experiment was to test different scenarios of exposure.  
24 So for each of the three species, they tested zero to six  
25 months exposure, zero the 12 months, zero to 18 months.

1 For rats and hamsters only, they tested zero to 24 months  
2 exposure. And then for all three species they studied six  
3 to 12, six to 18, 12 to 18, 12 to 24 months. And then for  
4 the rats and hamsters there was an exposure from 18 to 24  
5 months.

6 Next slide.

7 --o0o--

8 DR. MORRY: Now, this was for the hamsters. And  
9 if you look at the hemangiosarcomas, six months of  
10 exposure produced 15 percent hemangiosarcomas, 14.8. And  
11 exposing for 12 months actually resulted in a lower  
12 percentage of hemangiosarcomas, probably because of  
13 mortality. And so six months of exposure is sufficient to  
14 produce all the yield of hemangiosarcomas.

15 It varies a little bit from one kind of tumor to  
16 another. You notice that for the stomach adenomas, six  
17 months of exposure resulted in 26 percent, and 12 months  
18 of exposure resulted in only six percent. So pretty much  
19 across the Board or a simple six-month exposure was  
20 sufficient to produce a yield of tumors in hamsters.

21 Next slide.

22 PANEL MEMBER BLANC: Woe, woe, woe, woe, woe.

23 DR. MORRY: Okay, back to that slide.

24 PANEL MEMBER BLANC: That's not the question  
25 you're asking whether six months the sufficient. What

1 you're making the argument is that exposure from zero to 6  
2 months is more potent than exposure from six to 12 months.

3 DR. MORRY: Yeah, there's more data. That  
4 particular slide doesn't compare -- this is only is only  
5 zero to six, zero to 12 and zero to 18 but there are other  
6 parts to the experiment.

7 PANEL MEMBER BLANC: This is not the part to the  
8 experiment, therefore that you would argue is relevant to  
9 the issue at hand?

10 DR. MORRY: Well, it's relevant in that it shows  
11 that an exposure early in life is potent enough to produce  
12 a full yield of tumors that you don't get more by exposing  
13 longer, so it makes it look like that early period is the  
14 key period.

15 PANEL MEMBER BLANC: But you just said that you  
16 couldn't say what the mortality was in the animals or you  
17 said that maybe it's because of increased mortality.

18 DR. MORRY: Well, I think that's reason it fell  
19 off and the authors say that's the reason that the numbers  
20 fell off from 14.8 down the 7.7. But they say that as  
21 somewhat of a conjecture. They don't say that --

22 PANEL MEMBER BLANC: Do you they tell you how  
23 many died?

24 DR. MORRY: I don't recall that that data is give  
25 in the paper.

1           PANEL MEMBER BLANC: Well, if that's not given in  
2 the paper, it's almost impossible to interpret the paper  
3 isn't it, if you don't know the differential survival by  
4 exposure group?

5           DR. MORRY: For this part of the experiment that  
6 might be the case. I'd have to look at that in more  
7 detail.

8           CHAIRPERSON FROINES: Do they give the actual  
9 numbers of animals at each site?

10          DR. MORRY: Yeah. There's 50 some animals in  
11 each group.

12          CHAIRPERSON FROINES: Do they give the survival?

13          DR. MORRY: I think so. I'm not sure.

14          PANEL MEMBER BLANC: I guess we'll wait till you  
15 finish for this paper and then we can figure out whether  
16 we can say anything about this paper.

17          PANEL MEMBER FRIEDMAN: It just seems surprising  
18 that zero the 12 months on the last slide produced less  
19 tumors than zero to six months.

20          DR. MORRY: Yes.

21          PANEL MEMBER FRIEDMAN: Or that zero the 18 --  
22 there was a another column that showed zero to 18 less  
23 than same of zero to 12. And it just didn't make sense.  
24 Those number just didn't seem to make sense.

25          DR. MORRY: Yeah, that's the percentage of

1 animals with those tumors.

2 --o0o--

3 DR. MORRY: Okay. So this one is for the mice.

4 And there's two strains. And, again, this is looking at

5 zero to six, 12 and 18 months. And so the zero to six

6 month produced almost the same tumor yield as zero to 12

7 months for the hemangiosarcomas. And likewise for the

8 mammary gland carcinomas in the B6C3F1 mice.

9 And in the Swiss mice also zero the six months  
10 produced 43 percent hemangiosarcomas. And then longer

11 exposure didn't really increase the number of

12 hemangiosarcomas very much, so most of the induction of

13 tumors occurs in the first six months of exposure.

14 Next slide.

15 --o0o--

16 PANEL MEMBER BYUS: Were these all sacrificed at  
17 the same time? Do you see what mean, there was six months  
18 of exposure, but were they sacrificed at 24 months or were  
19 they sacrificed after six months?

20 DR. MORRY: Well, the first slide of the plan of  
21 the experiment showed that they were held until the end of  
22 the experiment.

23 PANEL MEMBER BYUS: Okay.

24 DR. MORRY: So they were all sacrificed at the  
25 end of 24 months.



1 assuming that you would adjust for length of follow up.  
2 And the question that you're asking is if I have the same  
3 amount of follow up does the dose given earlier induce a  
4 bigger burden of tumor adjusted for length of follow up  
5 since you would expect that the incidence of the tumors in  
6 question will go up with the factor of follow up. It  
7 actually won't be linear but rather probably the square of  
8 time or something.

9           So unless you've gone back and looked at the data  
10 or the data were presented in that way, since your entire  
11 argument on vinyl chloride rests on arguing that it's not  
12 shelf life, but it's rather very specifically that even  
13 taking follow up into account, the carcinogenic potency of  
14 vinyl chloride the greater with exposure in young age than  
15 at an older age, even taking length of follow up into  
16 account, which I can't say based on animals who are  
17 sacrificed at 24 months, I assume.

18           DR. MORRY: Yes. They are sacrificed at 24  
19 months.

20           PANEL MEMBER BLANC: In other words, I need to  
21 see -- for example, I'd need to see a study where rats  
22 were exposed from zero to six months and sacrificed at 12  
23 months compared to animals that were exposed from six  
24 months the 12 months and sacrificed at 18 months and so  
25 forth.

1 DR. MORRY: Well, I don't think we want to argue  
2 that shelf life isn't part of the reason for this. The  
3 animals that are exposed from zero to 12 months do have a  
4 longer time to develop their tumors than the animals that  
5 are exposed from six to 18 months, so that could be part  
6 of the reason why you see more tumors.

7 PANEL MEMBER BLANC: Well, have you tried to --  
8 in fact that wasn't the argument. The argument that you  
9 made was it wasn't just shelf life. The argument that you  
10 made, at least in the initial overall presentation, was  
11 vinyl chloride. We chose vinyl chloride because it wasn't  
12 just shelf life. We know that's a generic issue you could  
13 make with any carcinogen, but for vinyl chloride there was  
14 specific data suggesting that taking shelf life into  
15 account, young animals were more susceptible over and  
16 above that.

17 DR. MORRY: Well --

18 PANEL MEMBER BLANC: Based on this one study.

19 DR. MORRY: -- we think the shelf life argument,  
20 if it's a valid argument, applies to any genotoxic  
21 Carcinogen, whether you have data that shows that's  
22 effective early in life or not. For this chemical,  
23 there's data in animals that shows that the chemical is  
24 more effective when exposures occur early in life.

25 PANEL MEMBER BLANC: Over and above shelf life?

1 DR. MORRY: I didn't say that.

2 SUPERVISING TOXICOLOGIST MARTY: It's  
3 intertwined. I'm not sure you can actually separate that.

4 PANEL MEMBER FUCALORO: Why can't you? I think  
5 if you don't, say from zero to 12 months and then at -- I  
6 don't know, six months later -- then maybe the best way  
7 zero to six months then 18 months. In other words, give  
8 the length of time the same after each exposure.

9 DR. MORRY: Well, the animals are getting -- if  
10 you give -- you can't do that for animals that are exposed  
11 say 12 to 24 months, because then you'd have to give them  
12 like another 12 months and they're getting much older.

13 PANEL MEMBER BLANC: Well, you could sacrifice  
14 these zero the 12 months at the end of 12 months.

15 DR. MORRY: Or you can record the data of the  
16 tumor incidents at that period of time.

17 PANEL MEMBER FUCALORO: Right.

18 DR. MORRY: I don't think the purpose of this  
19 experiment was to ferret out shelf life versus other  
20 effects. And we're not trying the use it for that  
21 purpose. We're just saying that there's more evidence  
22 here than simply the generic argument of shelf life.

23 PANEL MEMBER BLANC: You're saying that you have  
24 a study that established shelf life exists.

25 DR. MORRY: No, I don't think so, but --

1           SUPERVISING TOXICOLOGIST: The shelf life is a  
2 theoretical consideration. And it's Based on the model of  
3 cancer which increases the third power of age. So if  
4 you're living a lot longer, you've got more third powers  
5 of age to go through.

6           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
7 SALMON: There's a couple of issues here. And, in fact,  
8 Jim Coliano of US EPA has done, I think at times, a tumor  
9 analysis of this experiment. And I think if you -- he  
10 presented this, you know, orally to us at one point. And  
11 my recollection is that he showed both the quote unquote  
12 "shelf life effect." In other words --

13           PANEL MEMBER BLANC: Latency survival.

14           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
15 SALMON: However you want to call it. But he also, I  
16 think, demonstrated an increase in underlying potency at  
17 the earlier ages. Now, that's something which may be, if  
18 we are going to take the opportunity to analyze this a  
19 lot further, we should perhaps dig that out.

20           But I think the point is that there is both the  
21 underlying latency consideration and the question of  
22 what's the potency at a particular age. And without vinyl  
23 chloride appears to be a case where both apply.

24           PANEL MEMBER BLANC: Well, to the extent that  
25 you're able to make the latter argument, I believe that it

1 would be a more convincing argument to consider this  
2 substance as having deferential effect on children. My  
3 scientific review would be that the fact that children  
4 survive longer to develop their tumors and ergo carcinogen  
5 in children the more important and we have, you know, a  
6 lab study which shows that the effect of survival long  
7 enough the get the tumors with chemical X has been shown  
8 and, you know, what in the rats species X, Y or Z.

9           That the not going to be convincing to me to move  
10 something up relative in terms of a prioritization. I  
11 suppose if you had information which supported an  
12 interpretation of these data which showed that you could  
13 tease out an exposure sensitivity effect in childhood that  
14 might be more convincing, and then I would have to weigh  
15 it against other issues like, you know, how much exposure  
16 is there in all those other things.

17           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
18 SALMON: The observation of the latency effect tends to  
19 imply that we should regard, perhaps all carcinogens as  
20 potentially having a greater impact on children, but that  
21 it doesn't prioritize between carcinogens.

22           Whereas, the possible oxidation of increased  
23 potency at younger age of exposure tends to argue that we  
24 should prioritize this particular carcinogen versus other  
25 carcinogens in other words.

1           PANEL MEMBER BLANC:  Yes, that is what I said.  
2  But I don't believe that this presentation suspends my  
3  disbelief in that regard.  And although you may have heard  
4  an oral presentation of the EPA which reinterpreted this  
5  data in some way that would support that, this presentation  
6  itself or the paper on the face of it, from what you've  
7  said, doesn't.

8           And I'm sorry if I misinterpreted your earlier  
9  statements at the last meeting to suggest that there was,  
10 in fact, potency data here.

11          DR. MORRY:  We also, in the case of this  
12 chemical, we have more evidence for a differential effect  
13 to children than we have for most genotoxic carcinogens  
14 because for most genotoxic carcinogens we don't have this  
15 kind of experiment where the exposures are done at  
16 different ages, and where the age of exposure is compared.

17          DR. MORRY:  Why don't we skip through to the  
18 Maltoni studies.

19          Okay, this study was published in 1981, bioassay  
20 of vinyl chloride monomer --

21          CHAIRPERSON FROINES:  I think it would be helpful  
22 to send the study to the panel.  I think given what's  
23 presented --

24          The Drew study.

25          CHAIRPERSON FROINES:  I don't think we can really

1 understand what happened with what we have so far.

2 DR. MORRY: Okay.

3 CHAIRPERSON FROINES: Unless I'm badly mistaken.

4 DR. MORRY: Okay. The 1981 study was a huge  
5 complex experiment with 7,000 animals. And they tested  
6 different species rat, mouse and hamster and different  
7 strains, different routes of exposure, inhalation, oral  
8 and concentrations ranging all the way from 1,000 to  
9 30,000 parts per million, and they also tested different  
10 schedules of treatment.

11 Next slide.

12 --o0o--

13 DR. MORRY: From this study they said that vinyl  
14 chloride was carcinogenic in the animals by inhalation and  
15 by ingestion. That the duration of treatment and the  
16 schedule greatly affected the neoplastic response that was  
17 seen in the animals. Species, strain and sex also greatly  
18 affected the response.

19 They concluded that newborn animals appeared to  
20 be extremely responsive and to easily develop liver  
21 tumors, both hepatocarcinomas and angiosarcomas. And also  
22 they showed that vinyl chloride produced carcinogenic  
23 effects on embryos via the placenta when they were expose  
24 in uterine -- when the mothers were exposed while the  
25 animals were in utero.

1           PANEL MEMBER FUCALORO: Now, the fourth bullet  
2 item would be what the standard we're looking for  
3 essentially to me, that younger, younger animals are more  
4 susceptible, right?

5           SUPERVISING TOXICOLOGIST MARTY: Yes.

6           PANEL MEMBER FUCALORO: Isn't that right?

7           Now, are you saying newborn animals appear, of  
8 course that's a hedge word that makes me uneasy --

9           DR. MORRY: Well, it's a quotation from the  
10 conclusion.

11          PANEL MEMBER FUCALORO: Understood. Not that  
12 you're making it, appeared to be extremely responsive.  
13 Did the data show that? They must. I mean, I would  
14 guess, wouldn't they?

15          PANEL MEMBER BLANC: Well, in your read of the  
16 paper, did the show that?

17          DR. MORRY: Yes, uh-huh.

18          PANEL MEMBER BLANC: So your next slide is the  
19 data that support that.

20          DR. MORRY: We don't have slides on the data from  
21 this paper. It's a huge paper and we concentrated mainly  
22 the Drew paper.

23          PANEL MEMBER BLANC: Would you say the quality of  
24 the data from this study are better the quality of the  
25 drew data?

1 DR. MORRY: There's more, you know, animals, more  
2 different kinds of exposures, and also they looked at in  
3 utero exposures, which the Drew experiment did not look  
4 at, so they looked at a much greater variety of factors.

5 PANEL MEMBER BLANC: Did they seem to have a data  
6 analysis that could take into account both latency and  
7 period of exposure and adjust for latency?

8 DR. MORRY: I'll have to look at it in more  
9 detail to answer that question confidently.

10 --o0o--

11 DR. MORRY: And the paper by Maltoni and Cotti  
12 1988. This was carcinogenicity of vinyl chloride  
13 Sprague-Dawley rats after prenatal and postnatal exposure  
14 was done by inhalation seven hours a day five days a week  
15 at just two doses 2,500 and control, no exposure. The  
16 animals were exposed for 13-week old breeders and male --  
17 they exposed 13-week old breeders and mail and female  
18 offspring. So the offspring were 12-day embryos. Yes,  
19 gestation date 12. And they were exposed for 15 or 104  
20 weeks.

21 Next slide.

22 --o0o--

23 DR. MORRY: In this experiment the  
24 hepatocarcinomas in male and female rats exposed as  
25 embryos was 51.2 percent compared to only 9.2 percent in

1 adults. And there were no hepatocarcinomas in the  
2 unexposed controls.

3 And the angiosarcomas were 64.6 percent in the  
4 exposed embryos and only 50 percent in the exposed adults.  
5 The latency period was shorter for the embryos than for  
6 the adults.

7 So the onset of neuroblastoma is affected by the  
8 length of treatment, the onset of hepatocarcinoma was  
9 affected by the age at the start and the onset of  
10 angiocarcinoma was affected by both the length of  
11 treatment and the age.

12 Next slide, please.

13 --o0o--

14 PANEL MEMBER FUCALORO: I mean that's the data.  
15 I mean that's the data which supports the differentiation.

16 DR. MORRY: So our overall conclusions for vinyl  
17 chloride is that embryos in young animals are more  
18 sensitive to carcinogenic effects of vinyl chloride than  
19 are adults. And from other experiments, other papers, we  
20 have the information that young animals are more sensitive  
21 to DNA adduct formation by vinyl chloride than are adults,  
22 several fold more sensitive, six-fold in one experiment.

23 And animal experiments strongly indicate that  
24 infants and children would be more sensitive to the  
25 carcinogen effects of vinyl chloride, based on both the

1 carcinogenicity studies and the adduct studies.

2           SUPERVISING TOXICOLOGIST MARTY: We actually have  
3 Covlianos paper in here and cite his paper which was a  
4 quantitative cancer assessment, where he looked at the  
5 time to tumor model, and so he could account for the  
6 effects of latency versus time at sacrifice.

7           PANEL MEMBER BLANC: Is this is guy from the EPA  
8 that you referred to?

9           SUPERVISING TOXICOLOGIST MARTY: Yeah, right.

10          PANEL MEMBER BLANC: What are the other ones that  
11 you have left the present, obviously not today, but what  
12 haven't we heard?

13          SUPERVISING TOXICOLOGIST MARTY: We haven't heard  
14 glycol ethers and you haven't heard the dioxins in PCBs.

15          PANEL MEMBER BLANC: Which are together?

16          SUPERVISING TOXICOLOGIST MARTY: The Dioxins and  
17 the dioxin like PCBs are in one presentation and then the  
18 noncoplanar PCBs are in another because it's a different  
19 toxin.

20          PANEL MEMBER BLANC: So you have three  
21 presentation still.

22          SUPERVISING TOXICOLOGIST MARTY: Right.

23          PANEL MEMBER GLANTZ: Plus the ones that you and  
24 John added.

25          CHAIRPERSON FROINES: I think we'll determine

1 that based on what they come up with.

2 Gary.

3 PANEL MEMBER FRIEDMAN: Could you just say  
4 briefly how kids would get exposed to vinyl chloride. I  
5 know there was concern about workers and, in fact, there's  
6 -- but how do kids get exposed to it.

7 SUPERVISING TOXICOLOGIST MARTY: Through  
8 Exposures from hotspot sources. So stationary sources  
9 that emitted vinyl chloride, for example, a polyvinyl  
10 chloride manufacturer or if you lived near a big old  
11 landfill. Vinyl chloride comes off landfills because it's  
12 a microbial degradation product of a number of things.

13 But overall the reason it's in Tier 2 is because  
14 we don't think that there are huge exposures. It's  
15 certainly not a concern on a regional basis.

16 CHAIRPERSON FROINES: We're about to lose a  
17 quorum. Paul, what was the purpose of your --

18 PANEL MEMBER BLANC: Well, my practical  
19 suggestion would be that you circulate to us some  
20 suggestions on how you want to handle the next steps of  
21 the next meeting in terms of a procedure, because it  
22 alludes me how, exactly, we're going to --

23 CHAIRPERSON FROINES: All right. That was the  
24 question earlier that I think that we need to define well  
25 in advance how we're going to proceed to draw this to

1 closure at the next meeting.

2           PANEL MEMBER BLANC: I will say, overall, that I  
3 don't think that the oral presentations of each and every  
4 chemical have been particularly illuminating, overall. I  
5 mean, the sort of step by step ones. It's been sort of  
6 uneven, and a lot of times throws into confusion that  
7 which was, I thought, straightforward previously.

8           So maybe we need to think for the remaining  
9 three ones and for the ones that we've added how we want  
10 to handle the discussion. And it may not be by this sort  
11 of linear presentation of the section with slides. So  
12 that would be my question to you.

13           CHAIRPERSON FROINES: Yeah, we're going to have  
14 to -- you're going to have to -- we're asking for some  
15 additional new chemicals, but you're going to have to give  
16 us some heads up in advance as to whether or not there is  
17 sufficient evidence to bring them before the panel. I  
18 don't think we want to go -- we listed about ten  
19 chemicals, I think,

20           PANEL MEMBER BLANC: No, five.

21           CHAIRPERSON FROINES: No, by the time you and I  
22 finished it was closer to ten, I think.

23           PANEL MEMBER BLANC: No, there were some that you  
24 wanted them to recheck, but there were some --

25           CHAIRPERSON FROINES: I know.

1 PANEL MEMBER BLANC: But you're counting those?

2 CHAIRPERSON FROINES: Yeah, I'm counting those  
3 for the sake of the first cut. So that, as a result,  
4 we'll need to know very soon about the level of evidence  
5 for the compounds and, you know, in my cases you may be  
6 able to dismiss them very quickly. And the couple of the  
7 others like methylene chloride and manganese, it's going  
8 to be obviously more difficult.

9 So we're going the need get a heads up in the  
10 next week or two of what we can plan for the next meeting.

11 PANEL MEMBER BLANC: This is an important point  
12 of clarification John. You're actually saying something  
13 different than what we said before. What we said before  
14 was that the ones that -- I did give them a discrete group  
15 of ones that I wanted to see the sections on. There were  
16 several other additional ones, which we said we didn't  
17 need the see the summary sections on, but we did want them  
18 to recheck their references and double check a few things,  
19 but that unless something emerged, and it was at their  
20 discretion, we were not expecting to see summary toxicity  
21 review of.

22 But I am expecting to see the summary toxicity  
23 reviews of the ones that I mentioned, and those were only  
24 about four or five, I think.

25 SUPERVISING TOXICOLOGIST MARTY: I had six.

1           PANEL MEMBER BLANC: Six. So I just wanted to  
2 make sure that they're not --

3           CHAIRPERSON FROINES: What I'm worried about,  
4 Paul, is that I'm trying to get it so we make a judgment  
5 ahead of time about how many of those six of yours we need  
6 the actually have presentations at this meeting.

7           PANEL MEMBER BLANC: That's a different question.  
8 I need the see documents for all them.

9           CHAIRPERSON FROINES: We'll work on that level of  
10 communication, because if we can avoid, we should only  
11 have presentations on those that are --

12           PANEL MEMBER GLANTZ: Serious contenders.

13           CHAIRPERSON FROINES: -- quite serious.  
14 Otherwise, we'll end up getting documents that's  
15 literature reviews, but not necessarily have presentation.

16           We don't have a quorum, so we move the close.

17           Thank you very much.

18           (Thereupon the Scientific Review Panel  
19 meeting adjourned at 4:05 p.m.)

20

21

22

23

24

25

## 1 CERTIFICATE OF REPORTER

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, and Registered  
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the  
6 foregoing Scientific Review Panel hearing was reported in  
7 shorthand by me, James F. Peters, a Certified Shorthand  
8 Reporter of the State of California, and thereafter  
9 transcribed into typewriting.

10 I further certify that I am not of counsel or  
11 attorney for any of the parties to said hearing nor in any  
12 way interested in the outcome of said hearing.

13 IN WITNESS WHEREOF, I have hereunto set my hand  
14 this 21st day of May, 2001.

15

16

17

18

19

20

21

22

JAMES F. PETERS, CSR, RPR

23

Certified Shorthand Reporter

24

License No. 10063

25