

MEETING
STATE OF CALIFORNIA
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL

SCHOOL OF PUBLIC HEALTH
UNIVERSITY HALL, ROOM 150
UNIVERSITY OF CALIFORNIA, BERKELEY
2199 ADDISON STREET
BERKELEY, CALIFORNIA

FRIDAY, JANUARY 21, 2011

9:11 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS

John R. Froines, Ph.D., Chairperson

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Ellen A. Eisen, Sc.D.

Sarjeet S. Gill, Ph.D.

Stanton A. Glantz, Ph.D.

S. Katharine Hammond, Ph.D.

William W. Nazaroff, Ph.D.

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Aguila, Manager, Substance Evaluation Section

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Ms. Janette Brooks, Chief, Air Quality Measures Branch

Ms. Susie Chung, Staff Air Pollution Specialist

Mr. Richard Corey, Chief, Stationary Sources Division

Mr. Bart Croes, Chief, Research Division

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE DEPARTMENT OF PESTICIDE REGULATION:

Dr. Marylou Verder-Carlos, Assistant Director

Mr. Randy Segawa, Manager, Environmental Programs

APPEARANCES CONTINUED

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Dr. George Alexeeff, Deputy Director

Dr. Robert Blaisdell, Supervisor, Environmental Modeling
Section

Dr. Daryn Dodge, Staff Toxicologist

Dr. Melanie Marty, Chief, Air Toxicology and Epidemiology
Branch

Dr. Andrew Salmon, Chief, Toxicology and Risk Assessment
Section

INDEX

	<u>PAGE</u>
1. Introduction and orientation of new Panel Members.	1
2. Consideration of a revised Appendix C to the Air Toxics Hot Spots Risk Assessment Guidelines Part II: Technical Support Document for Cancer Potency Factors(December 2010)	136
3. Consideration of proposed acute, 8-hour, and chronic Reference Exposure Levels for caprolactam(December, 2010)	184
4. Consideration of administrative matters.	259
Adjournment	259
Reporter's Certificate	260

1 looking at chemicals that produce lung injury by virtue of
2 their metabolism in those tissues.

3 Is that long enough?

4 CHAIRPERSON FROINES: Sure. And he's quite well
5 known for his work on naphthalene.

6 PANEL MEMBER EISEN: Okay. My name is Ellen
7 Eisen. I'm at UC Berkeley in Environmental Health
8 Sciences. I've been here for about five years, before
9 which I was in Boston for many, many years at Harvard
10 School of Public Health and at University of
11 Massachusetts.

12 I'm an occupational epidemiologist trained in
13 biostatistics and interested in dose response models in
14 human populations.

15 PANEL MEMBER GLANTZ: So I'm Stan Glantz, a
16 professor of medicine at UC San Francisco. I also direct
17 the Tobacco Center there. My research is in
18 cardiovascular function, secondhand smoke. I wrote a
19 couple of textbooks in biostatistics, which is why I'm on
20 this committee.

21 CHAIRPERSON FROINES: If I can say one thing
22 about Stan --

23 PANEL MEMBER GLANTZ: And I'm his straight man.
24 (Laughter.)

25 CHAIRPERSON FROINES: Let me explain, because

1 this is actually quite serious. Stan and I have had over
2 time a back and forth joking behavior. And when we were
3 sued on the diesel case, they made a big deal out of the
4 jocularity between Stan and me.

5 And so one of the things I'd like to say is that
6 please hold down the joking and/or say I'm joking. And
7 that will keep us -- that will keep those remarks from
8 becoming litigative.

9 Kathy.

10 PANEL MEMBER HAMMOND: I'm Kathy Hammond from the
11 School of Public Health, Division of Environmental Health
12 Sciences, UC Berkeley. And I'm a chemist and industrial
13 hygienist, and mostly I'm an exposure assessor for
14 epidemiologic studies.

15 My studies include secondhand smoke,
16 environmental sciences. We're doing a big study on
17 asthmatic children and the environment in Fresno. And
18 occupational studies in a variety of settings.

19 PANEL MEMBER ARAUJO: I am Jesús Araujo. I'm an
20 Assistant Professor of Medicine in the Division of
21 Cardiology at the School of Medicine in UCLA. My
22 training, I'm a cardiologist and a molecular biologist.
23 My research interests are currently in assessing the
24 cardiovascular effects of air pollutants, understanding
25 some of the molecular mechanisms and pathways and some of

1 the things that can be involved in either protecting us
2 from those effects or conferring more sensitivity.

3 PANEL MEMBER GILL: I'm Sarjeet Gill from the
4 University of California at Riverside. I'm in the
5 Department of Cell Biology and Neurosciences. My training
6 has been basically in toxicology. And currently, my
7 project is looking at bacterial toxins and their mode of
8 action on the cellular level.

9 CHAIRPERSON FROINES: I'd like to welcome the two
10 of you, because the three of us constitute southern
11 California, and that's not always the case.

12 PANEL MEMBER BLANC: No, previously other people
13 have constituted southern California.

14 (Laughter.)

15 CHAIRPERSON FROINES: Now you see what I mean.
16 We just got sued.

17 PANEL MEMBER BLANC: By southern California,
18 Chamber of Commerce.

19 I'm Paul Blanc. I'm from the University of
20 California at San Francisco --

21 PANEL MEMBER GLANTZ: Which is northern
22 California.

23 PANEL MEMBER BLANC: -- which is Northern
24 California titularly.

25 PANEL MEMBER HAMMOND: It's actually Central

1 PANEL MEMBER BLANC: And I'm Chief of the
2 Division of Occupational and Environmental Medicine at the
3 Parnassus Heights Campus. My colleague, John Balmes, who
4 many you of know from the Air Resources Board is Chief of
5 the Division at San Francisco General Hospital.

6 And my areas of research include respiratory
7 conditions in relationship to occupational environmental
8 exposures, including COPD, asthma, fibrotic lung disease,
9 and pulmonary vascular disease, and they include outcomes,
10 such as disability and quality of life. And I'm also
11 Clinical Attending in Internal Medicine and an Attending
12 at the San Francisco Division of the California Poison
13 Control Center. And I have training in medical toxicology
14 as well as Occupational Environmental Medicine and
15 Internal Medicine.

16 CHAIRPERSON FROINES: And Paul is a genius, and
17 you'll see, at asking questions that make for a good
18 record for the proceedings. He really has a sense of what
19 we need on the record and is quite skilled at asking those
20 questions that are going to be beneficial to our ultimate
21 decisions.

22 PANEL MEMBER NAZAROFF: Good morning. I'm Bill
23 Nazaroff. I am trained or educated as a physical
24 scientist and engineer, especially environmental
25 engineering. I'm on the faculty here at UC Berkeley in

1 the Department of Civil and Environmental Engineering.

2 My research interests center on the dynamic
3 behavior of air pollutants, the chemistry and physics with
4 a particular emphasis on understanding the relationship
5 between emissions from sources and what humans are exposed
6 to. Application areas have included motor vehicle exhaust
7 emissions and inhalation intake from that exposure to
8 environmental tobacco smoke. I have a particular current
9 interest in semi-volatile organic compounds from sources
10 and materials that are used in indoor environments and are
11 indoor exposures that result.

12 I want to apologize at the outset right now
13 because I have a teaching commitment at 11 up the hill,
14 and so I'm going to step out for about an hour and a half
15 starting at about 10:40.

16 CHAIRPERSON FROINES: Okay. Oh, me

17 I'm John Froines, and I'm at UCLA School of
18 Public Health in the Department of Environmental Health
19 Sciences. And I'm going to be the Chair of this committee
20 for the foreseeable future.

21 I'm a chemical toxicologist. And I am
22 particularly interested in the notion that there are two
23 primary mechanisms of toxicity, one of which is
24 pro-oxidant activity, and the other is chemical -- is a
25 electrophilic activity.

1 And so we have been particularly interested in
2 where Bill leaves off in a sense, where we've been
3 interested in the first steps of toxins binding with
4 proteins and DNA and what happens from that point on, from
5 that point of chemical reactivity to down through the long
6 process of disease development till we reach the
7 downstream point of apical outcome of disease.

8 And so we're interested in -- we do cellular
9 biology. We do in vitro assays. And we're really
10 interested in the road map of toxicity.

11 So I'll stop there. And then I thought it was
12 appropriate -- we're going to hear from representatives
13 from the three agencies that we work closely with. And I
14 should say that that relationship, over the years, has
15 been very, very positive. And so just so it's on the
16 record, we have had an extremely positive relationship
17 with the various agencies. And so that's a good starting
18 point.

19 I'm going to go -- sorry, Paul.

20 PANEL MEMBER BLANC: It would just help me to
21 know if people would say what constituent appointment they
22 have, whether it be as the designated oncologist or --

23 PANEL MEMBER GLANTZ: That's on the sheet.

24 PANEL MEMBER BLANC: Is it? Does everybody know
25 that?

1 Okay, thanks

2 CHAIRPERSON FROINES: So do you want people to go
3 around --

4 PANEL MEMBER BLANC: No, as long as -- I just
5 wanted to call it to people's attention so that they're
6 actually aware of that, but that doesn't tell us who's an
7 Assembly appointee and who's a State Senate appointee.

8 CHAIRPERSON FROINES: Well, let's just do it.
9 I'm Speaker of the Assembly. Who else is the Speaker of
10 the Assembly?

11 PANEL MEMBER BLANC: You don't mean Speaker of
12 the Assembly. You were appointed by the Speaker of the
13 Assembly.

14 CHAIRPERSON FROINES: Speaker of the Assembly.
15 Who is the other person?

16 PANEL LIAISON BEHRMANN: Dr. Gill is.

17 CHAIRPERSON FROINES: Who?

18 PANEL LIAISON BEHRMANN: Dr. Gill.

19 CHAIRPERSON FROINES: Oh, good. That's a good
20 group.

21 (Laughter.)

22 PANEL MEMBER HAMMOND: As opposed to the rest of
23 us?

24 (Laughter.)

25 CHAIRPERSON FROINES: No. I knew I had fallen

1 into that trap. But, okay, the Senate.

2 (Raised hands.)

3 CHAIRPERSON FROINES: Stan and Paul.

4 PANEL MEMBER GLANTZ: And I just got reappointed
5 this week, last week.

6 CHAIRPERSON FROINES: Let's leave the
7 reappointment discussion out of this conversation. It
8 hasn't been exactly fun.

9 And everybody else, Paul, is appointed by the
10 Secretary of CalEPA.

11 PANEL MEMBER BLANC: (Nods head.)

12 CHAIRPERSON FROINES: And they will have varying
13 tenures, so that issue will come up in the future, because
14 there has been controversy, and we would like the members
15 to be reappointed. And we'll see how that plays out with
16 the University of California.

17 So I would like to go through a number of points.

18 (Thereupon an overhead presentation was
19 Presented as follows.)

20 CHAIRPERSON FROINES: I noticed that I'm now
21 about to take the points -- some of the points that the
22 other agencies are going to mention, but I don't think
23 repetition is a problem.

24 I think it's very important for everybody to
25 recognize that a toxic air contaminant means an air

1 pollution which may cause or contribute to an increase in
2 mortality or serious illness or which may pose a present
3 or potential hazard to human health.

4 So this is a very general statement of a toxic
5 air contaminant. And so what it takes to meet the
6 criteria of a present or potential hazard to human health
7 is something that we'll have to think about, because
8 everything isn't super serious. Some things are more
9 vague, but the definition is vague. And so I wanted you
10 to be aware of that.

11 Now, I don't need to do the next one. We're a
12 nine person committee and we've covered that.

13 --o0o--

14 CHAIRPERSON FROINES: So SRP history, the
15 creation of the Panel derived from the fact in 1983 that
16 California was fed up with U.S. EPA. U.S. EPA was not
17 moving ahead on toxic air contaminants. They still
18 haven't moved ahead on toxic air contaminants. And that
19 the State of California wanted to take up toxic air
20 contaminants and so decided to pass legislation that would
21 enable them to do so.

22 So AB 1807, in a sense, was California's attempt
23 to move California ahead across the United States at the
24 federal level so we could get started on this particular
25 issue.

1 Now, the Panel has followed historically the
2 policies identified in the 19 -- the National Academy of
3 Sciences risk assessment document or the so-called Red
4 Book. But I wanted to point out that the National Academy
5 of Sciences has issued a new document for the risk
6 assessment process.

7 Now, please, everybody realize that we have a new
8 National Academy document and we will, in the next
9 meeting, discuss that document rather than now, because it
10 changes the criteria from what is in the Red Book, and
11 I'll show you. As I say, it changes the underlying
12 philosophy and recommends changes in the relationship
13 between risk assessment and risk management.

14 And the point here is that historically this
15 Panel has dealt solely with risk assessment issues. We
16 have not dealt with risk management issues. And there was
17 some sense that there's a prohibition that we don't. And
18 whether that's true is something that's worth discussing.

19 --o0o--

20 CHAIRPERSON FROINES: All right. Here's the Red
21 Book. So you have the classic hazard identification
22 exposure assessment, dose response relationship, and risk
23 characterization. And this is what we have done since
24 1983 is to conduct risk assessment that dealt with the
25 terms identified in Red Book, the NAS Red Book.

1 So this is the classic risk assessment process,
2 and everybody needs to be familiar with it, and I don't
3 think that's a problem.

4 --o0o--

5 CHAIRPERSON FROINES: But now we have the new
6 risk assessment. And as you can see, it's far more
7 complicated, the old -- the old process is in the box in
8 the middle. But what I want to point out to you is Phase
9 1 comes before hazard identification. And because none of
10 you can read this, I did this.

11 --o0o--

12 CHAIRPERSON FROINES: That Phase 1 says the
13 following, "What problems are associated with existing
14 environmental conditions"?

15 If existing conditions appear to pose a threat to
16 human or environmental health, what options exist for
17 altering these conditions?

18 Now, ladies and gentlemen, that second point is
19 fundamental to the new direction. That means that
20 we -- if we followed this, and this is up to us, we can
21 look at risk management issues at the start of the
22 process, and we don't have to deal with risk -- and risk
23 management, which usually comes after risk assessment.
24 This gives the Panel the option of looking, at the outset,
25 of what options exist. And this is something that we're

1 going to want to talk with the agencies about, so that we
2 are in synch on this new criteria.

3 And give context what risk assessments are needed
4 to evaluate possible risk management options. So I say
5 this, and this is something we'll take up at the next
6 meeting, but this is a fundamental change in the risk
7 assessment process from 1983, yes.

8 PANEL MEMBER EISEN: I have a question. So does
9 that include considering alternative?

10 CHAIRPERSON FROINES: Yes.

11 PANEL MEMBER EISEN: Okay.

12 CHAIRPERSON FROINES: That's the point.

13 That's -- I should have said that, in fact. That gives us
14 the option of doing -- well, this is something we're going
15 to have to talk about with the agencies, so we don't end
16 up in a fist fight. And that the -- but the issue, as far
17 as I'm concerned, tells us we can do alternatives analysis
18 at the front end. And you realize the significance of
19 that in terms of that historically we've started with
20 hazard identification, so we're not limited to the
21 traditional means.

22 Now, we have to adopt this. We have to get
23 opinions from the agencies. And so this is not trivial,
24 because what this means, as Alan said, is what does that
25 imply? I can't tell you how important this is.

1 add the pesticide TACs. Slightly different process
2 involving DPR, but there's 23 that went through the Air
3 Resources Board and there's an additional that went
4 through DPR.

5 CHAIRPERSON FROINES: Randy is here, so he can
6 fill us in.

7 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
8 SEGAWA: There are --

9 CHAIRPERSON FROINES: Let me finish and then you
10 guys can have your turn.

11 (Laughter.)

12 --o0o--

13 CHAIRPERSON FROINES: And there have been other
14 things that the Panel has taken up. There is a
15 legislation called AB 2588, which is the Hot Spots
16 Program, and we have risk assessments brought to us in the
17 context of the hot spots program. And Melanie will talk
18 about that.

19 We have had, I think it's two, but there
20 have -- but the rule is that a company, presumably anybody
21 I guess, can request that we reconsider a decision that
22 we've made, that there is new evidence that requires us to
23 relook at what the decision of the SRP was. And so the
24 Air Resources Board sends us that new so-called new
25 evidence, and we decide is there new evidence to take up

1 that chemical once again?

2 So it's a, what would you call it, it's a --

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

4 CHIEF MARTY: It's a petition.

5 CHAIRPERSON FROINES: It gives you a second
6 chance to have your chemical looked at.

7 And we did formaldehyde and benzene. And we
8 rejected the industry position both times. In other
9 words, we said that the new evidence was not sufficient to
10 reopen the chemical. And that's the only two that I'm
11 aware of. Melanie, is that the only two?

12 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

13 CHIEF MARTY: That's the only two that went all the way
14 through the process.

15 CHAIRPERSON FROINES: Yeah. But industry can
16 come back to us and ask for a relook at the evidence.

17 We were sued once, and that was diesel. And
18 we -- I guess, Jim, we can say we won.

19 PANEL LIAISON BEHRMANN: (Nods head.)

20 CHAIRPERSON FROINES: We won. So out of all
21 these hundreds of chemicals that we've done since 1983, we
22 have only been sued once, which I think speaks well for
23 this panel.

24 And this was a really quite unequivocal decision
25 rejecting the industry position, fair?

1 Somebody tell me if you agree.

2 PANEL LIAISON BEHRMANN: Yes.

3 CHAIRPERSON FROINES: Yes.

4 PANEL LIAISON BEHRMANN: And the Panel, John --
5 this is Jim Behrmann, the liaison to the Panel. The Panel
6 was not the sole defendant. The agencies were also
7 defendants.

8 CHAIRPERSON FROINES: I don't want to get into
9 all the detail. It's too complicated.

10 I mean, the Panel was sued. I was sued, and, you
11 know, it was a mess.

12 So we also have focused on prioritization of TACs
13 as disproportionately impacting children. And I'll come
14 back to that.

15 And we also -- was MTBE a 2588?

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
17 CHIEF MARTY: Yes.

18 CHAIRPERSON FROINES: So we did MTBE not as a
19 toxic air contaminant, but the controversy over whether it
20 should be used in gasoline was a hot topic. And we took
21 it up. So there are times when we can take up hot --
22 issues of significance.

23 --o0o--

24 CHAIRPERSON FROINES: All right, and that's SB
25 25, which requires OEHHA to assess whether current air

1 pollution standards are protective of infants and
2 children. I won't read it all. Melanie is going to talk
3 about it.

4 But basically this has been very important to
5 identify chemicals that have a greater effect in children
6 than adults. And I should say that we picked six or five
7 chemicals and -- for the purposes of science. The
8 literature on this topic of whether a chemical has a
9 greater impact on children than adults is an issue which
10 has virtually very limited literature. This is a hot
11 topic, but the literature is vanishingly small, and that
12 leads us into -- sometimes into controversies about it.

13 --o0o--

14 CHAIRPERSON FROINES: Okay, now I'm going to do
15 the -- this is nuts and bolts. We appoint -- for each
16 chemical, we appoint two members of this Panel to be leads
17 on that chemical. One person deals with exposure. One
18 person deals with health effects.

19 And so everybody here get ready to be assigned to
20 chemicals. And it's sort of voluntary, but it's voluntary
21 with some pushing going on. Who's been a lead on the last
22 chemicals. Kathy and --

23 PANEL MEMBER HAMMOND: Not the last chemical. In
24 the last year.

25 CHAIRPERSON FROINES: What have you been leads

1 on?

2 PANEL MEMBER GLANTZ: The last thing I was the
3 lead on was the risk assessment procedures, so it wasn't a
4 chemical. It was sort of the process.

5 CHAIRPERSON FROINES: Kathy.

6 PANEL MEMBER HAMMOND: At the moment I don't
7 remember. I'm sorry. Certainly tobacco smoke.

8 CHAIRPERSON FROINES: Who was ETS?

9 PANEL MEMBER HAMMOND: Well, we two.

10 CHAIRPERSON FROINES: Those two. So what happens
11 is --

12 PANEL MEMBER GLANTZ: I was on diesel, I
13 remember.

14 CHAIRPERSON FROINES: These two -- Kathy and Stan
15 were leads on ETS, Environmental Tobacco Smoke, and they
16 work with the agency to try and get the document that
17 comes to the Panel in good shape, so we have fewer
18 controversies and differences of opinion, so that by the
19 time we get the document, we're closer to completion than
20 we might have been without the input from the leads
21 working with the agencies.

22 And it's been successful throughout our history
23 and the leads have played a very important role. I should
24 say that selecting leads is not always the easiest thing
25 to do, but there's always been two people who played the

1 role of the leads.

2 And the relevant agencies -- I don't need to go
3 through all of this. The relevant agencies present their
4 risk assessment and information on toxicity and exposure.
5 And then the Panel, in general, has found issues with the
6 documents. And usually what happens is if there are
7 issues, we tell the agencies to go back and make changes
8 and then bring them back to us.

9 We have -- I think it's fair to say, we have
10 seldom accepted a document on its face. And we have
11 seldom completely rejected a document.

12 We try and work collaboratively, so that we send
13 them back with specific suggestions, and they then meet
14 those suggestions and bring it back to us. So it's a
15 collegial process that occurs.

16 Forget the next one.

17 At the end, we vote and we don't have to be
18 unanimous. And we then write our findings and then we
19 send, with a transmittal letter the findings and the
20 transmittal letter to the relevant agency.

21 And these findings we -- on some occasions, we
22 have written the encyclopedia Britannica, but the general
23 view of everybody is that these should be short, is that
24 fair?

25 PANEL MEMBER BLANC: Yes.

1 CHAIRPERSON FROINES: I'm old people.

2 (Laughter.)

3 PANEL MEMBER GLANTZ: Old people, yes.

4 PANEL MEMBER BLANC: Yes.

5 CHAIRPERSON FROINES: Experienced

6 PANEL MEMBER HAMMOND: We've been moving in that
7 direction.

8 PANEL MEMBER BLANC: Yeah, I mean, I think that
9 consistently we've tried to not reiterate the document or
10 writing the Executive Summary of the document, but rather
11 make the bullet points that are key, and perhaps
12 explicitly the logical progression from exposure through
13 health effects as appropriate.

14 CHAIRPERSON FROINES: It's very easy to write an
15 encyclopedia. And it's the last thing we want to do at
16 this point. And so the leads write the first drafts of
17 the findings and then it comes to -- and then I take a
18 look at it and then it comes to the Panel for general
19 discussion.

20 --o0o--

21 CHAIRPERSON FROINES: So we review -- this is
22 very important. We review written submissions to the
23 agencies, which you've all got a whole bunch of it
24 recently. And I want to emphasize that we take that
25 testimony very seriously. And I want it on the record

1 that the Panel takes seriously comments from the public,
2 so that everybody knows everybody's getting a fair share.

3 And the last thing on that bullet point is we do
4 not take verbal testimony. In other words, the agencies
5 will present their cases, but we don't have industry,
6 environmentalists, whoever, do not present testimony. We
7 get their position from their written testimony.

8 Now we have new people on the Panel. If you
9 wanted to change that and have written -- have verbal
10 testimony, we can do that. I think the people who have
11 been on for a long time would recommend against verbal
12 testimony, but we can take that up at another time.

13 But that has been a bit of an issue. There are
14 obviously -- when I chaired the subcommittee on
15 carcinogens, we took public testimony. And it was a mess,
16 because you would have 20 people all of whom were
17 consultants arguing their case, and it was like being
18 beaten in the head with a hammer.

19 So I'm for no verbal testimony. Written
20 testimony can be submitted any time. Jim Behrmann is our
21 liaison. I assume that you've all met him.

22 And Jim and Peter Mathews, who addresses
23 logistical issues, both are very experienced, so that
24 you're working with a good team. And keep in mind, and
25 this was a controversy at the beginning, a big controversy

1 that was quite contentious that Jim and Peter work for us.
2 They don't work for the agencies they come from. They are
3 to meet the needs of the Panel, so that if Mary Nichols
4 tells Jim to do something, Jim has to tell Mary Nichols to
5 talk with me and the Panel itself. So that the -- it's
6 important for us to have an independent staff and not one
7 where we're getting orders from the agencies that we're
8 supposed to be reviewing their documents.

9 Does that make sense?

10 --o0o--

11 CHAIRPERSON FROINES: Okay. And here are just a
12 few -- others are going to speak to chemicals, but just to
13 name some important ones benzene, methylene chloride,
14 perchloroethylene, diesel particulate. Let me emphasize
15 this, because I think this is something that we have to
16 take up if we can convince the ARB to do it. And that is
17 when we name diesel as a toxic air contaminant, we did not
18 name diesel exhaust. We named diesel particulate.

19 And it's clear now, at least from the research in
20 my laboratory, that vapors are very important toxins. And
21 so it's not just particulate matter. And so the Panel, I
22 think, should take up identifying as toxic air
23 contaminants vapors as well as particulate. And
24 that's -- that will be quite an interesting discussion
25 about how we will -- how we'll do that.

1 But it's -- in our lab, we find that the largest
2 number of electrophiles are in the vapor phase. And so we
3 are not regulating some of the chemicals that are by far
4 the most toxic.

5 Naphthalene is a vapor. It's not a TAC. And I
6 would argue -- I don't know that Alan thinks -- but it
7 should be.

8 All right. Perchloroethylene, diesel, metam
9 sodium is DPR. Chloropicrin. Chloropicrin is, in my
10 view, deserves a second look, but we'll talk about that
11 later.

12 --o0o--

13 CHAIRPERSON FROINES: This is just examples.
14 This was our first risk assessment we had to decide upon.
15 You can see different dose response models. The most
16 risky is the Mantel-Bryan Mouse Preputial Gland. Then you
17 have mouse leukemia and lymphoma. Human leukemia. And
18 down there in seven, you have mouse preputial gland with a
19 probit model.

20 So this is the first risk assessment we ever
21 dealt with. And so we had to decide which one of those to
22 choose. Were we going to be conservative and pick the
23 preputial gland? We were going to be conservative -- not
24 conservative, health conservative, and pick a probit
25 model.

1 So this is an example of we -- I don't think
2 we've had anything like this, Melanie, in years, but this
3 was the starting point. And our Panel had to pick it.
4 And we picked the mouse leukemia multi-stage model and the
5 human leukemia Rinsky data as the choice. We did not pick
6 the preputial gland, because we thought we would be
7 ridiculed for it.

8 --o0o--

9 CHAIRPERSON FROINES: All right. This is just
10 methylene chloride. I just want to show you. You have
11 two metabolic pathways. This was the first time this
12 Panel ever took up PBPK modeling. I won't go further.
13 But PBPK modeling is now much more common in terms of risk
14 assessments. Although, there are still significant
15 uncertainties. But this was the first one where we had to
16 look at a process where you have one pathway, which is
17 presumably safe and one pathway which causes cancer. And
18 so we did -- industry did PBPK models and that started
19 that.

20 --o0o--

21 CHAIRPERSON FROINES: This goes without saying.

22 --o0o--

23 CHAIRPERSON FROINES: And this just says -- this
24 is Garshick's work, where we indicates that lung cancer
25 and diesel exhaust is real. And since then, as Ellen

1 knows, there have -- these are -- I just named three
2 Garshick papers, but the evidence on diesel has grown and
3 grown and grown. So I don't know if we're up to
4 50 -- see, there they're talking about a meta-analysis on
5 35 studies. I don't know what it is now. It could be up
6 to 50, for all I know. I just got a paper that says the
7 same thing to review.

8 --o0o--

9 PANEL MEMBER GLANTZ: John.

10 CHAIRPERSON FROINES: All right.

11 Perchloroethylene, you can look at this at your own --

12 PANEL MEMBER GLANTZ: John, you left out an
13 important fact about the diesel story.

14 CHAIRPERSON FROINES: What?

15 PANEL MEMBER GLANTZ: And while John is correct
16 that we don't take public testimony at the meetings, we
17 have, on a few occasions, held public workshops, where the
18 public can come. And the diesel people hired Garshick,
19 who came to the meeting -- I remember it was at UCLA --
20 who then proceeded to trash most of his own studies. And
21 one thing we did get in the record there was I remember I
22 read the definition of a toxic air contaminant and asked
23 the room -- this was the high point of my career on this
24 Panel -- and said is there anybody in this room who
25 doesn't think diesel exhaust meets this definition?

1 And there was complete silence, including from
2 Mr. Garshick.

3 PANEL MEMBER BLANC: Dr. Garshick.

4 PANEL MEMBER GLANTZ: Mr. Dr. Garshick, yes.

5 CHAIRPERSON FROINES: Well, I think just to
6 clarify a little bit what you said. Eric basically took
7 the position that the evidence was not yet sufficient for
8 a risk assessment. And so he wanted to wait till the
9 evidence was more clear. And Tom Smith and Eric are
10 coming out with a paper very soon which I'm told is going
11 to be devastating on this issue. And so we'll see.

12 Are you on that?

13 PANEL MEMBER EISEN: No, but I was on the 2009
14 paper.

15 CHAIRPERSON FROINES: You were?

16 PANEL MEMBER EISEN: I was.

17 CHAIRPERSON FROINES: And so diesel is going to
18 be with us -- as long as you're on this Panel, diesel will
19 be with us, because it's going to come back and back and
20 back and back. I was accused of the American Trucking
21 Association of misconduct professionally and
22 scientifically, because of diesel. And so this is one
23 that I think will be with us as long as we live.

24 --o0o--

25 CHAIRPERSON FROINES: Now, I just put this up.

1 This shows -- you can look at this. I won't go through
2 it. We did Perchloroethylene. All sorts of things have
3 happened basically banning Perchloroethylene. And so
4 Perchloroethylene now is, as you can see at the bottom,
5 require all machines to be removed when they become 15
6 years old or by 2023. So that we did the risk assessment.
7 And the risk management basically bans Perchloroethylene.

8 --o0o--

9 CHAIRPERSON FROINES: And the future is what
10 compounds should form the highest priorities for the
11 future?

12 Children's protection, pesticides. And whether
13 we take global climate change, I don't know what the plans
14 are.

15 But let me finish by saying one thing. As I said
16 earlier, our research focuses on chemical reactivity as
17 the first step, for example, electrophile, Michael
18 addition reactions with proteins for example. And we're
19 interested in electrophiles and pro-oxidant.

20 And so there are hundreds, if not thousands, of
21 chemicals that fit the Michael addition category for
22 example. And that's important because when you have an
23 adduct with a protein form with a Michael addition
24 reaction, that's an irreversible process. And so the
25 irreversibility is quite important.

1 So as I said, there are hundreds, if not
2 thousands, of electrophiles and pro-oxidant chemicals.
3 And I don't know what it's going to be said today, but
4 we'll hear, but I believe that we do not receive enough
5 chemicals. And that it's worth our having a meeting where
6 we discuss chemicals that we go back to the agencies and
7 suggest that they bring forward.

8 And I would argue, for example, quinones, Michael
9 addition compounds, that there's a whole range of
10 chemicals that should be dealt with as a class of
11 compounds. And normally, all chemicals are brought to us
12 from the agencies. And I would argue that that time
13 should be passed, and that we should make recommendations
14 to the agencies for chemicals that they -- that we
15 consider appropriate for consideration as toxic air
16 contaminants.

17 That's totally up to you. If you just want to do
18 the chemicals they give us, that's fine. But if you want
19 to deal with classes of compounds or compounds that
20 have -- for example, electrophiles that have
21 irreversibility.

22 So keep in mind that we should have a discussion
23 amongst ourselves about our -- what are chemicals that
24 should be brought forward to be considered as toxic air
25 contaminants? And I think it's quite important, because

1 EPA is now doing something called ToxCast, where they're
2 looking at about 400 chemicals, and they have another new
3 chemical review process where they're looking at hundreds
4 of chemicals. So there are, in terms of toxicity
5 testing -- there is a wide range of activity going on.
6 And the question is where should we be in that activity?

7 And as I said, we can punt, and not do anything,
8 or we can take a more assertive position and suggest to
9 Melanie and ARB that there are these chemicals we think
10 should come forward.

11 PANEL MEMBER GLANTZ: Since we're sort of
12 bringing all the new people up to speed with the old
13 people, I mean I don't want -- I think what you said
14 though could be misinterpreted, as to say that this Panel
15 doesn't have anything to do with what comes before us.
16 And that's not correct. I mean, we've had two or three
17 rounds of priority setting. And there are priority
18 documents. There are documents that try to establish
19 priorities for what should come forward, which the idea
20 for the priority setting came from the Panel a long time
21 ago.

22 CHAIRPERSON FROINES: Yes.

23 PANEL MEMBER GLANTZ: And the agency has more or
24 less paid attention to that. And so I would take your
25 suggestion and frame it a bit differently. And that is,

1 maybe it's time to reopen that issue and relook at the
2 priorities.

3 CHAIRPERSON FROINES: I think --

4 PANEL MEMBER GLANTZ: But it's not fair to give
5 the impression to the new members that we play a passive
6 role in this.

7 CHAIRPERSON FROINES: No. Stan, what I was doing
8 was not saying what you said, precisely because I can see
9 a woman over there who may be talking about this today.
10 And so I was leaving it open for her. I think that -- and
11 I apologize for what I'm about to say --

12 (Laughter.)

13 CHAIRPERSON FROINES: -- but that process has
14 been going on for more than 12 years, right?

15 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

16 We had an SRP meeting in 2007 on a proposed
17 prioritization methodology change, and we're still working
18 on that.

19 CHAIRPERSON FROINES: But this was going on even
20 before that?

21 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

22 Yeah, but then that's how all the compounds that
23 came before the Panel were.

24 CHAIRPERSON FROINES: So what I'm saying is the
25 methods that they're using do not take into account

1 Michael addition compounds, electrophiles, irreversible
2 pro-oxidant compounds. We know a huge amount about
3 compounds that are highly -- that we would argue are
4 highly toxic, and we need to work with the agency to show
5 them that their priority listing can be updated. Is that
6 fair?

7 PANEL MEMBER GLANTZ: Well, I think -- I mean, I
8 think if that -- if people think that makes sense to do,
9 which I was the one who started the prioritization process
10 back before the beginning of time. So I think that's
11 great, but I don't think -- I don't want the new members
12 to get the impression that we don't, from time to time,
13 deal with the agency and work up prioritizations.

14 And in addition to the specific compounds or
15 chemicals that we've dealt with, there have been a series
16 of documents dealing with process, and, you know, what are
17 the standards for doing a risk assessment, how do you do
18 stochastic modeling, you know, things like that, where
19 this Panel has basically worked with the agency to write
20 the ground rules for the risk assessment too. So it's
21 more than just sitting here passively, you know, accepting
22 chemicals.

23 And I want to second what John said though. I
24 think that in working with the people, you know, at ARB
25 and OEHHA and DPR, I mean, they've been quite responsive

1 to suggestions that have come from this committee by and
2 large.

3 CHAIRPERSON FROINES: And she's right, we have
4 had -- I don't -- I've lost track, but at least two or
5 three meetings.

6 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
7 Yes, with leads.

8 CHAIRPERSON FROINES: And those were very good
9 meetings. That there has never been a note of dissension
10 or disagreement in -- well, maybe some disagreement,
11 but --

12 (Laughter.)

13 PANEL MEMBER GLANTZ: Don't get too carried away
14 here.

15 (Laughter.)

16 CHAIRPERSON FROINES: But the point is it's been
17 a collegial process. But as far as I'm concerned, the
18 process has to move, because, folks, we did diesel in
19 1998. We did environmental tobacco smoke in when?

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
21 CHIEF MARTY: 2005.

22 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
23 2006.

24 CHAIRPERSON FROINES: 2005. So since 1998 we
25 have had -- forgetting -- sorry, Randy. We've had two ARB

1 chemicals. And my view is we've got a lot of smart people
2 putting a lot of time into this Panel, and we should be
3 taking up important compounds and working ourselves to
4 death. And that's a joke for the new people.

5 (Laughter.)

6 PANEL MEMBER GLANTZ: It is?

7 I mean it is, yes.

8 CHAIRPERSON FROINES: And so I think that this is
9 a very important issue about how do we deal with
10 prioritization, because the law requires ARB to follow up
11 what we do and the Board does and set in motion risk
12 management decisions. And so it's not a free lunch.

13 Just because we name something a toxic air
14 contaminant, doesn't mean -- should mean that the risk
15 management efforts go forward.

16 And what I wanted to do to finish, and I don't
17 want to bore the new members to death, and I may have done
18 that already. But the point is, Stan, you just made a
19 cogent comment. Can we get comments from people who have
20 been on the Panel before, if you have anything to add to
21 what I've said.

22 Kathy and Paul?

23 PANEL MEMBER BLANC: No.

24 PANEL MEMBER HAMMOND: No that's good. I'm sure
25 I will speak later.

1 CHAIRPERSON FROINES: Yeah.

2 PANEL MEMBER BLANC: I mean, I think it's very
3 confusing, because there are different reasons why
4 substances come to the Scientific Review Panel for
5 comment. And inherent in what John was talking about is
6 that the bulk of what we have been dealing with in recent
7 years are substances which have already been identified as
8 toxic air contaminants, if it's coming from the OEHHA
9 side.

10 And we are commenting on whether within that
11 designation a particular risk assessment applies in terms
12 of specific levels of exposure that would trigger various
13 kinds of actions. And I think we have a chemical like
14 that on the menu today. That is to say, we're not being
15 asked to comment on it being a toxic air contaminant, it's
16 already been listed, but rather to comment on a risk
17 assessment in terms of exposure limits that might be dealt
18 with.

19 And that's been the bulk of what we've dealt with
20 rather than taking up a chemical which is not already
21 listed as a toxic air contaminant and recommending it that
22 it be -- recommending that that substance be so
23 designated. Am I correct in --

24 CHAIRPERSON FROINES: Yeah, but I was asking a
25 different question, and you followed up what Stan was

1 saying. I was asking is I went through a lot of stuff.

2 And --

3 PANEL MEMBER BLANC: I'm just trying to --

4 CHAIRPERSON FROINES: Do you have any comments --

5 PANEL MEMBER BLANC: Well, I do, but I just want
6 to put that in context, because I think it was -- it would
7 be easy to lose that in the discussion. So, yes, I don't
8 disagree that, in fact, the pace at which a potential new
9 designated materials as toxic air contaminants has been
10 slow and perhaps not driven as much by rationale
11 priorities as they might be, but I think that the most
12 glaring category has not necessarily been materials
13 from -- coming through OEHHA, but rather our dual role in
14 reviewing substances that would come to us from the
15 Department of Pesticide Regulation.

16 And I think in that area, it's been woefully slow
17 in both materials that are already recognized as toxic air
18 contaminants or should be recognized as toxic air
19 contaminants. And so I would only amplify what you're
20 saying in terms of that.

21 In terms of a more nuanced prioritization of
22 materials, frankly, I see the bigger problem is that the
23 pace of what comes to us is so woefully slow that the
24 issue of what should be ranked 17th versus 13th is
25 irrelevant if we're going to get one chemical every two

1 years.

2 It really -- that kind of effort and
3 prioritization, absent some way of making the pace of what
4 comes to us different, which is really partly because the
5 regulatory requirements for each tiny thing that's done
6 require hundreds and hundreds of, you know, personnel
7 hours of work.

8 So I don't see an easy solution to the problem,
9 but I don't think the entire issue is in what order they
10 come to us if they come to us so slowly that it's a moot
11 point.

12 CHAIRPERSON FROINES: Kathy.

13 PANEL MEMBER HAMMOND: I want to thank you for
14 this overview, because I actually didn't get such a thing
15 when I was newly on the Board. And I would like to just
16 say some things to the new members who may not be so
17 clear. Maybe it's clear to you, but it took me awhile to
18 see it.

19 And that is that the way this actually comes to
20 us is that the agencies have actually done a huge amount
21 of work in the beginning. They've done the background
22 work and they have performed a risk assessment that's been
23 published. And there's been opportunity for public
24 comment. There often is public comment. And so there's a
25 list of public comments and questions. And then the

1 agency responds to those questions. And it's at that
2 point that we actually receive the materials.

3 So we actually have seen both the -- you know,
4 we've seen the risk assessment. We've seen the public's
5 response to that risk assessment and then the agency's
6 response to those responses. And then we're working from
7 that. So that gives us a huge amount to work from. We're
8 not starting de novo trying to do those.

9 CHAIRPERSON FROINES: Kathy just -- Stan, just
10 before we go to you.

11 I just wanted to -- you raised an important
12 question for the Panel. We tend to -- and tend may not be
13 the right word. We emphasize in our review peer reviewed
14 documents. We get a lot of documents that are done by the
15 affected industry. And so there is always the issue of
16 the classic chicken in the kitchen --

17 PANEL MEMBER BUCKPITT: Wolf in the hen house.

18 CHAIRPERSON FROINES: -- wolf in the hen house.
19 And the requirements for testing by U.S. EPA are -- I can
20 honestly say because I'm doing research on this right now.
21 The EPA testing requirements are, to some degree, too a
22 large degree, inadequate. So we don't get -- if we find a
23 compound that is neurotoxic and also has results in
24 development -- miscarriages, resorptions in animals, and
25 the industry doesn't do a neurodevelopmental test, it's

1 ludicrous what gets left out.

2 So we need to be prepared to say what has been
3 left out of the testing protocols and were they adequate.
4 We need to look at the chemicals that we take up from an
5 academic standpoint. We are not regulators. We are
6 scientists. And so that's why there's an emphasis on peer
7 reviewed documents.

8 And there is a paper in Environmental Health
9 Perspectives on Bisphenol A, where a group of scientists
10 criticized EPA requirements and industry requirements for
11 testing compared to academic requirements. And it's a
12 very good paper to read -- it's EHP -- and it clarifies
13 the differences between how regulatory agencies sometimes
14 see things and how scientists and universities see things.

15 And I think it's a very important paper, because
16 we're going to see documents come before us, in which DPR
17 is going to say this meets FIFRA requirements. Well,
18 FIFRA is at least at the Brontosaurus stage. And so that
19 we need be to aware Of what FIFRA is, and then be aware
20 that that's not sufficient from an academic point of view.

21 PANEL MEMBER GLANTZ: Well, just to -- again,
22 I -- I'm like the second longest member serving. John's
23 been -- you've been here from the very beginning, right,
24 when the Committee was set up?

25 CHAIRPERSON FROINES: I couldn't get another job.

1 PANEL MEMBER GLANTZ: You couldn't. Well,
2 anyway. But there's a couple of other -- just kind of
3 getting to things that I think are important that John
4 didn't mention.

5 While we do apply a high scientific standard, you
6 know, I'm reluctant to use the word academic, because
7 academia you always feel more research is needed. That's
8 always the conclusion of everything.

9 And one of the things that I think makes this
10 process work, and very important and influential and one
11 reason I've stayed on the Panel so long, is we do reach
12 conclusions based on the information -- the available
13 information.

14 And there's a couple of things in the law that I
15 think were very forward looking for when it passed in the
16 early eighties. And one thing is that we do not require
17 certainty to draw a conclusion. In fact, the law
18 explicitly recognizes that there is often uncertainty and
19 all probably always uncertainty.

20 And if you look at the findings that we've
21 adopted, I don't know if all of them, but certainly most
22 of them, have a statement as to the certainty with which
23 we can reach the conclusions that we reach.

24 And so in drawing conclusions about the
25 information that's put in front us, we're not looking for

1 perfection. We're looking for a good strong defensible
2 decision.

3 The second thing, which is explicitly in the law,
4 which I think is very, very important, and, in fact, it
5 comes up in the chemicals that are on the agenda today, is
6 the question of whether there's a threshold. And that's
7 something we explicitly are charged with drawing a
8 conclusion on, whether there's evidence for a threshold.

9 And so those are a couple of, I think, important
10 kind of things about how thinking about this, while we
11 want to apply very high scientific standards, I don't
12 think it's quite an academic standard in the sense of
13 sitting around a seminar. In the end, we do try to reach
14 conclusions.

15 The other thing, just as a practical matter, the
16 way -- and John alluded to this a little bit, because
17 these are actually pretty thin compared to what we usually
18 get dumped on us. You know, I was -- some of them are
19 like this thick, and --

20 CHAIRPERSON FROINES: That wasn't what you should
21 say in the --

22 (Laughter.)

23 PANEL MEMBER GLANTZ: Okay. Well, no, this is
24 really -- it's like reading a really fast-read novel, but
25 anyway. That's a joke.

1 (Laughter.)

2 PANEL MEMBER GLANTZ: But the way I approach
3 these personally is to read the executive summary in the
4 first part of the report, and then read the public
5 comments and the response to comments, because these
6 reports are often very long. They're very detailed. And
7 I find that gives you -- because, I mean, most of these
8 things are areas I'm not, you know, working directly. And
9 sometimes, like tobacco smoke it was, but that was the
10 exception for me.

11 And in going through the comments, you can see
12 what are the issues that people who have spent a lot of
13 time thinking about this and who have a stake in the
14 outcome, you know, think are the issues, and you can judge
15 how well you think the agency dealt with them. And, you
16 know, sometimes they do a good job and sometimes we raise
17 questions about it.

18 And then I go back and look at the rest of the
19 document with the public comments in mind. And I think
20 that's something that makes the job manageable when you
21 start getting a lot of very technical material dropped in
22 your lap.

23 And while -- you know, as John said, I mean, I
24 think we've had, at least in recent years, a very good
25 working relationship with the agencies. That, by no

1 means -- this Panel is not a push over. And some of the
2 meetings have kind of reminded me of where we've had the
3 agency staff a five hour doctoral dissertation with a nine
4 person committee and a court reporter.

5 So this is not a shy -- or historically has not
6 been a shy group in terms of raising issues about these
7 reports.

8 CHAIRPERSON FROINES: I wanted to follow up on
9 some something you said.

10 PANEL MEMBER BLANC: John, can we work towards a
11 break.

12 CHAIRPERSON FROINES: Yes, of course.

13 (Laughter.)

14 PANEL MEMBER BUCKPITT: I think you just did.

15 CHAIRPERSON FROINES: I want to follow up on
16 something that Ellen Eisen said. And that is that, in my
17 view, this Committee -- this Panel should basically be
18 operating from a position of the precautionary principle,
19 where we deal -- we make decisions based on certainty and
20 we decide what our policy is going to be on those
21 decisions, and that the -- so there are questions of
22 causality. There are questions of uncertainty. There are
23 questions of variability and susceptibility. And how
24 we -- and default mechanisms that are applied.

25 And so it seems to me that we have to have in the

1 back of our mind what Ellen said is the idea of
2 precaution, uncertainty, and alternatives. Is that
3 something that we should have as being relevant to our
4 deliberations? And it's a decision of the Panel, but I
5 would argue that's what we have done historically.

6 PANEL MEMBER EISEN: Just to be clear, I never
7 used the term precautionary principle. I would not use
8 the term precautionary principle. All I did was raise the
9 question about whether or not we could consider
10 alternatives.

11 CHAIRPERSON FROINES: Okay. I think
12 precautionary principle has so many problems with it, I
13 think that she's right, and that -- but the issues of
14 uncertainty and how you deal with that, issues of how you
15 deal with variability, how you deal with causality, those
16 issues -- and how you make decisions when you don't have
17 the world's amount -- you know, enormous amount of data,
18 that's what I meant. And I can leave out -- you know,
19 we've had pollution prevention, toxics reduction,
20 precautionary principle. And all those terms to me are,
21 at some level, out of date and don't tell you what you
22 need to know.

23 So anyway, that's -- is that okay with you?

24 PANEL MEMBER EISEN: Um-hmm.

25 PANEL MEMBER GLANTZ: But you know, at the risk

1 of dragging this on and delaying the break, you know, I
2 think if you look at the chemicals before us, these issues
3 are there in spades. You know, is there a threshold? You
4 know, do you like the way things are being modeled or
5 described? You know, what assumptions are reasonable to
6 make in dealing with, you know, limited sometimes messy
7 data?

8 And I think those are the questions -- you know,
9 on one hand you want to be concerned, I mean, of
10 protecting the public health, but you don't want to be --
11 go to the point where you're imposing or estimating risks
12 that are just not defensible.

13 And I think one of the reasons the Panel has such
14 a good record over all of these years of the reports being
15 upheld is because this is something people take very
16 seriously, and, you know, come up with science, which is
17 defensible.

18 You know, and these are -- I mean, I think these
19 are thin reports here, but a lot of the issues which
20 routinely come up are embodied in them.

21 CHAIRPERSON FROINES: I think --

22 PANEL MEMBER GLANTZ: We should take a break.

23 CHAIRPERSON FROINES: Yeah, we're going to take a
24 break. Kathy wants to say something, and I was just going
25 to say one comment. I don't know about Peter and Tim, but

1 I think it would be useful for this Panel to have a copy
2 of the new NAS risk assessment report, because it is where
3 things are at at this point.

4 And I think that we need to be aware that people
5 are proposing significant changes, while keeping some of
6 the stuff from the past. And it will affect how we view.
7 Because I mean the issue of defaults is a policy decision.
8 And so when we get into -- like when we got into
9 mitigation on methyl iodide, the default --

10 PANEL MEMBER HAMMOND: We did not get into
11 mitigation in methyl iodide.

12 PANEL MEMBER BLANC: The SRP did not deal with
13 methyl iodide.

14 CHAIRPERSON FROINES: No, I'm sorry.

15 PANEL MEMBER BLANC: So can we drop that?

16 CHAIRPERSON FROINES: Yes, my fault.

17 Kathy

18 PANEL MEMBER HAMMOND: I was just going to
19 comment. I actually don't think the Panel has a
20 articulated strong viewpoint about things that you
21 mentioned earlier, such as pollution prevention or
22 precautionary principle. I think we are nine individual
23 people, individual scientists with individual
24 perspectives, and we're brought here to bring all of that
25 together. We do come to some consensus of particular

1 documents and things, but I think that it's very important
2 to bring the different perspectives, and that's what we
3 try to do.

4 CHAIRPERSON FROINES: You missed what I've said.
5 What I was saying was I was responding to Ellen, and I was
6 saying that there are these terms that have been used
7 widely, namely precautionary principle, toxics reduction,
8 pollution prevention, toxics use reduction. And in my
9 view, we should not use those terms. We should use terms
10 that have actual scientific meaning, like the concept of
11 uncertainty.

12 So let's take a break.

13 PANEL MEMBER BLANC: How long?

14 CHAIRPERSON FROINES: Fifteen minutes.

15 (Thereupon a recess was taken.)

16 (Thereupon an overhead presentation was
17 Presented as follows.)

18 CHAIRPERSON FROINES: Can everybody hear me?
19 Can you say something, Janette?

20 PANEL MEMBER BLANC: Can we reconvene?

21 CHAIRPERSON FROINES: We can reconvene. We are
22 reconvening.

23 PANEL LIAISON BEHRMANN: Janette will be able to
24 speak as soon as she has the microphone. This is Jim
25 Behrmann, the Panel Liaison.

1 CHAIRPERSON FROINES: I'm going to say one thing
2 while we're waiting. This new Panel can keep everything
3 the same as has been done before. I personally believe
4 it's time for a change, and that we should be thinking
5 about how to improve the efforts of our Panel, and
6 specifically how do we improve the number of chemicals we
7 deal with, and how do we improve the processes that we
8 pursue.

9 So this is something to discuss at a later date.
10 But I think that we've been going successfully for a long
11 time, but I think it's worth discussing, at least, how we
12 want to operate.

13 And so, ARB, are you ready to go?

14 PANEL MEMBER BLANC: Yeah, Jim wanted to speak
15 first, I think.

16 CHAIRPERSON FROINES: Oh, yes. I'm sorry.

17 PANEL LIAISON BEHRMANN: John, I'm going to give
18 some brief introductory remarks.

19 CHAIRPERSON FROINES: Sure.

20 PANEL LIAISON BEHRMANN: If I may?

21 CHAIRPERSON FROINES: I'm sorry, I knew that and
22 was looking at Janette.

23 PANEL LIAISON BEHRMANN: Thanks, John. Just to
24 reintroduce myself, I'm Jim Behrmann, the staff liaison to
25 the Panel. And also just to clarify, I believe that my

1 role is to serve both the Panel as well as the staff of
2 the three departments that the Panel advises. I act as a
3 conduit for information, a point of contact and try to
4 facilitate the communication and the actions of both the
5 staff in the Departments as well as the Panel.

6 So any of you can feel free to contact me in
7 whatever way I may be able to help. I wanted just to
8 make --

9 CHAIRPERSON FROINES: And if there are
10 disagreements between an agency and the Panel, Jim will
11 act as a go-between often.

12 PANEL LIAISON BEHRMANN: That's correct.

13 And if I might just take a minute briefly. I
14 wanted to acknowledge at least two individuals that are
15 here today. First of all, is Bart Croes, who is my
16 Division Chief within the Air Resources Board. He's Chief
17 of our Research Division.

18 And in that capacity, he's responsible for our
19 extramural research program, which includes research into
20 the health effects of not just toxic air contaminants, but
21 other pollutants as well. The climate change program,
22 stationary source controls, motor vehicle controls, and
23 the likes. So it's a very broad extramural research
24 program that Bart's responsible for. Any he probably does
25 much more than that as well. I just want to limit it.

1 The second individual is Richard Corey, who is
2 Chief of our Stationary Source Division. And I won't
3 begin to list the programs that his division is
4 responsible for, but they include the toxic air
5 contaminant program, both the identification as well as
6 the risk management side of that program, as well as
7 fuels, diesel regulations, and the like.

8 So anyway, thank you both for being here today.

9 CHAIRPERSON FROINES: Can Rich say something
10 about his role. Oh, there you are.

11 PANEL MEMBER BLANC: He'll be speaking I think as
12 they go down the Panel.

13 CHAIRPERSON FROINES: Oh, you are.

14 PANEL MEMBER BLANC: John, I'd suggest that we
15 let them just do their thing for awhile.

16 CHAIRPERSON FROINES: It's okay. I'll decide.

17 PANEL LIAISON BEHRMANN: With that, John, just a
18 few brief remarks. You had mentioned the what led to the
19 Panel being created. And I just wanted to give a couple
20 examples of that.

21 The Panel, having been created in 1983 when the
22 passage of Tanner legislation, AB 1807, has a rich
23 history, over a 25, 28 years of meetings and activities.
24 In fact, I think it's over a hundred meetings.

25 But looking back to the late seventies, just to

1 give a couple of examples, there was a very clear concern
2 about toxics in the air, possible carcinogens in the air,
3 and these were reflected in a couple of examples that were
4 meaningful to me.

5 In 1977, the Board learned of emissions of vinyl
6 chloride monomer and vinyl chloride itself from
7 manufacturing facilities in southern California. And
8 those of you, especially on the occupational side, know
9 that in the mid-seventies a physician working for
10 BFGoodrich had identified a very rare liver cancer
11 associated with employees that were working for his
12 facility.

13 And so within a year or two we learned, the Board
14 learned, that there were emissions from facilities,
15 including here in California, not just in other states,
16 but here in California, including an elementary school
17 located in southern California immediately down wind from
18 a facility.

19 And the Board -- if you look back at the
20 documentation from that time, the Board was struggling
21 with what to do, because they did not -- the Board did not
22 have the statutory authority to regulate emissions from
23 that facility, other than the nuisance provision in State
24 law.

25 And a very interesting outcome, the Board chose,

1 in 1978 to -- it may very seem odd, but they adopted an
2 ambient air quality standard for vinyl chloride. Ambient
3 standards generally are considered to be levels that are
4 safe. The Board may it very clear it was setting this
5 level in order to be able to push for emission controls.

6 And they made it very clear that this was not
7 necessarily a safe level. It was being set at the
8 level -- the lowest detectable level by the measurement
9 method that was in use at the time.

10 Concurrent with that action that the Board took,
11 the Board clearly had a concern about toxics, because the
12 Board requested and initiated what was called an Ad Hoc
13 Panel on Atmospheric Carcinogens, which was chaired first
14 by Bob Sawyer from UC Berkeley. And then when he left to
15 accept another appointment, it was chaired by Jim Pitts,
16 who, of course, we know chaired this Panel for a number of
17 years.

18 That panel met four or five times over a year and
19 a half, and issued a report in 1979. Seven
20 recommendations, which became the basis then for an
21 initial set of toxics regulations that the Board adopted
22 in December 1982, which were then superseded by the AB
23 1807, the Tanner legislation.

24 So just to give you a brief history that in the
25 late seventies there clearly, over a number of years, was

1 a concern about what people were being exposed to.

2 CHAIRPERSON FROINES: Jim?

3 PANEL LIAISON BEHRMANN: Yes.

4 CHAIRPERSON FROINES: Can I interrupt you just
5 for 30 seconds.

6 PANEL LIAISON BEHRMANN: Certainly.

7 CHAIRPERSON FROINES: The vinyl chloride issue I
8 think is something that this Panel may want to discuss and
9 consider. Vinyl chloride was originally recognized as
10 causing angiosarcoma of the liver, and everybody knows
11 that.

12 And that's the problem that we have too often
13 taken a chemical and identified an endpoint. But if you
14 look at ETS, there must be 25 endpoints or close to it.
15 And the issue of multiple endpoints from a single -- from
16 a chemical is something that has gotten way too little
17 attention and is worth discussion in this Panel over time.

18 PANEL LIAISON BEHRMANN: Certainly. I'll leave
19 that to the Panel.

20 Just to finish my remarks. The legislation that
21 established the Panel. In your binder there is a section
22 that is excerpts from State law. I think I will also
23 provide you later with the longer version, which is the
24 complete laws that will be discussed by the staff.

25 Right now you just have the excerpts where the

1 Scientific Review Panel is referred to. So you don't have
2 in the binder the declaration of the legislature in the
3 introduction to the Tanner ledge.

4 And I just wanted to touch on a couple points,
5 because to me, Stan, I think already referred to it, or
6 alluded to it, and I think it's helpful to just reiterate
7 them.

8 The Legislature now speaking in 1983 stated that
9 its their declaration that public health, safety, and
10 welfare is being endangered by the emissions of such toxic
11 substances, that persons may be exposed to a multiplicity
12 of toxic air contaminants. It's the public policy of the
13 State that emissions of toxic air contaminants be
14 controlled to levels that prevent harm.

15 That the best science should be used, and that it
16 should be reviewed by the public and a Science Review
17 Panel.

18 That there is a need for a statewide framework
19 and statutory authority that's needed. And for that
20 reason, they were adopting this law.

21 And then finally, this was the point that Stan
22 made, and I think it's very important, because it
23 expresses the public health focus of this law. It says
24 that while absolute and undisputed scientific evidence may
25 not be available to determine the exact nature and extent

1 of risk from toxic air contaminants, it is necessary to
2 take action to protect public health.

3 So we don't wait until absolute understanding or
4 knowledge is available.

5 CHAIRPERSON FROINES: The thing I think you --
6 one thing that's equally important as to what Stan and you
7 said is the word "prevention", and that's the guiding
8 light.

9 PANEL LIAISON BEHRMANN: Yes.

10 With those brief introductory remarks, let me
11 turn the microphone over to Janette Brooks from our
12 Stationary Source Division, who will then be followed by
13 Melanie Marty from OEHHA, and Randy Segawa from DPR.

14 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

15 Good morning, Dr. Froines and members of the
16 Panel. Again, I'm Janette Brooks. I'm Chief of the Air
17 Quality Measures Branch in the Stationary Source Division
18 at the Air Resources Board.

19 And with me here at the table is Jim Aguila,
20 Manager of the Substance Evaluation Section in my branch.
21 And then you've already met Richard Corey, who is Chief of
22 the Stationary Source Division. And I think Richard would
23 like to say a few words.

24 ARB STATIONARY SOURCE DIVISION CHIEF COREY:

25 Thanks, Janette. Thanks, Dr. Froines, and Panel,

1 really prestigious Panel. In fact, I did want to make one
2 comment. About 25 years ago, when I was with the Board
3 interacting with the Panel quite a bit, in terms of the
4 early stages of the identification program.

5 So and now I'm going to move to the impact that
6 the Panel has had significant in terms of the ultimate
7 actions that have been taken to reduce emissions and the
8 ensuing health benefits.

9 But two points I wanted to make. One is I wanted
10 to go back to Dr. Froines' question, in terms of just
11 overall role. The Division that I oversee is primarily a
12 regulatory division. So really what it's focused on are
13 the actions to -- primarily regulatory actions to reduce
14 emissions of ozone precursors, range of toxic substances,
15 diesel particulate. We talked about that as well.

16 Recently, major regulations we've adopted,
17 renewable electricity standard. Thirty-three percent
18 renewable electricity standard that we worked with the
19 energy agencies on. And the low carbon fuel standard that
20 we're working to implement that is a GHG measure, but
21 yields other benefits as well.

22 Overall observation that I wanted to make was
23 touched on here, but -- and Janette will go back to it,
24 but I think it's important enough to -- and it's one
25 that's on my mind honestly often, and that is when I saw

1 the line item as diesel PM being identified as a TAC, what
2 I see on my end is ultimately the development of a needs
3 assessment, basically where are the emissions, where are
4 the opportunities for reductions, where are the
5 opportunities for the greatest benefit from a public
6 health standpoint?

7 That needs assessment ultimately translated into
8 about a dozen regulations through a public process that
9 took a few years for each of those and ultimately to
10 effective implementation and oversight and hundreds of
11 tons of reductions, and in many cases co-benefits, not
12 just diesel PM, but reductions of NOx, SOx, and a number
13 of cases GHGs as well.

14 And what we find in terms of the implementation
15 of those regulations is staying on point, staying on top
16 of them from an implementation standpoint requires
17 periodic adjustments, amendments. In fact, this year I'll
18 be going back to the Board three or four times to make
19 sure that adjustments are made to continue to ensure
20 efficient implementation.

21 So my point was that, you know, the ultimate
22 identification does translate into the serious evaluation
23 of opportunities for emission reductions, and, in many
24 cases, the adoption of regulations and the need to make
25 sure that we get the reductions that we anticipated, which

1 requires the ultimate effective implementation, and in
2 many of these serve as a model both nationally and
3 internationally.

4 So the actions here, they do go somewhere in a
5 significant way.

6 CHAIRPERSON FROINES: I don't want to get into
7 asking a lot of questions, but people should feel free to
8 ask questions to any speaker. I was just going to say,
9 are you, in any way, addressing ultrafine particles?

10 ARB STATIONARY SOURCE DIVISION CHIEF COREY: In
11 fact, Bart has a lot of background on this issue, and
12 certainly something that we have been looking at. And I
13 think the actions that we've taken, what are the
14 reductions of ultrafines that those actions are already
15 taking, which they do.

16 But we have been looking closely at the work of
17 the EU and its motor vehicle standards, and, you know,
18 exploring even the possibility of expanding PM regulatory
19 work.

20 But clearly, the regulatory actions that have
21 been taken speak to that issue in part. There certainly
22 is developing science. We need to continue to stay on top
23 of and be aware of it with respect to that issue as well,
24 but it partly goes back to my point about co-benefits. As
25 the science unfolds, we're finding several of the actions

1 we're taken already are yielding benefits.

2 CHAIRPERSON FROINES: The reason I ask it is
3 because we know from our work that in the Caldecott Tunnel
4 and elsewhere that when you reduce PM2.5 and PM10, the
5 number of ultrafines increases dramatically.

6 So you have a contradiction. You have a standard
7 for PM2.5, but as it goes down -- as the emissions go
8 down, the number of particles goes up. And so the
9 question is what is it that's causing disease and illness?

10 ARB STATIONARY SOURCE DIVISION CHIEF COREY: Very
11 fair question, and a number of researchers are looking at
12 this. I think most would agree that there are clearly
13 irrefutable benefits of reducing mass. But to the extent
14 that we can do that in away that doesn't have an impact on
15 the number of fines, yeah, point well taken.

16 Back to Janette.

17 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

18 Okay.

19 CHAIRPERSON FROINES: We always appreciate
20 Janette attending. She's our good friend and articulate
21 spokesperson.

22 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

23 All right. Well, on this slide it shows the
24 outline of the presentation.

25 --o0o--

1 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

2 I'd liked to give a few background slides on the
3 Air Resources Board's Air Toxics Program and then talk
4 about some current work that we're doing that Dr. Froines
5 alluded to. And then close with some next steps that we
6 would suggest for moving forward.

7 --o0o--

8 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

9 This was covered briefly too, but for the Air
10 Resources Board, our Air Toxics Program is multi-faceted.
11 There's two major components, the Toxic Air Contaminants
12 Program and the Air Toxics Hot Spots Program. The Hot
13 Spots Program Jim was telling you was first adopted in
14 1983. And it established a two-step process for control
15 of toxic air contaminants, one of which started with
16 substance identification and then the second phase is a
17 control phase.

18 And then in 1999, Senate Bill 25 amended the Air
19 Toxics Program to include consideration of infants and
20 children's health in the program.

21 I will be talking about in later slides a little
22 bit more detail about the Toxic Air Contaminant Program,
23 particularly the identification phase.

24 For the Air Toxics Hot Spots Program, this
25 program was first adopted in 1987. And it required

1 facilities to report their air toxic emissions and had
2 other community right-to-know provisions. And then it too
3 was amended in 1992 to add a requirement that any high
4 risk individual facility should reduce their significant
5 risks.

6 And I wanted to say that OEHHA has
7 responsibilities under SB 25 that they'll be discussing in
8 their presentation. And they also have many
9 responsibilities under the Air Toxics Hot Spots Program
10 that are relevant to the Panel that they will be
11 discussing as well.

12 --o0o--

13 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: In
14 this slide, it just shows a little bit more detail about
15 the two programs that make up our overall umbrella program
16 for air toxics. And for the Toxics Air Contaminant
17 Program, and I'll cover this in more detail, because it
18 really is relevant to the Panel and their role, there are
19 specific steps for the identification of a toxic air
20 contaminant. And I will go through those in a minute.

21 But there's basically two elements to the
22 program, toxic air contaminant identification or risk
23 assessment. And then once a substance is identified as a
24 toxic air contaminant, then there's a needs assessment and
25 a risk management phase to the program.

1 In the control phase of the program, when we do
2 the needs assessment, we are looking to see whether, you
3 know, what are the costs of controls? Is it feasible to
4 control? How much is the risk going to be reduced? And
5 then if those controls are justified, we move forward.

6 As OEHHA carries out their SB 25 work, it's
7 relevant to us, because we may need to revise a control
8 measure we've already adopted or review -- or develop a
9 new control measure. And so that is work that we would
10 need to do.

11 The Air Toxics Hot Spots Program, I'll just go
12 through a few of those steps, because it's very relevant
13 to, I think, even the topics you're going to be discussing
14 later today. That program required facilities to report
15 their air toxic emissions to the local air pollution
16 control districts. And then the air pollution control
17 districts report that information to the State, and that
18 became our air toxics hot -- our air toxics inventory for
19 the State, which we'd never had before.

20 The air districts use that data -- emissions data
21 to prioritize the facilities. And if they feel that that
22 facility may potentially have high risks -- health risks
23 to the nearby residents, then they require the facility to
24 prepare a health risk assessment.

25 And the risk assessment results are used if it

1 turns out that facility really is a high risk facility,
2 then there's a requirement that the public be notified of
3 those risks.

4 And then as we mentioned, Senate Bill 1731
5 amended the legislation to require that those individual
6 facilities with significant risks will have to reduce
7 those risks, and they'll have to repair a plan that lays
8 out how they're going to do it.

9 --o0o--

10 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

11 And I won't spend any time on this, because Dr.
12 Froines already covered it, but the definition of a toxic
13 air contaminant is very fundamental to the program. So I
14 just wanted to make sure you saw it, but he's already done
15 though, so we'll go on.

16 --o0o--

17 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

18 CHAIRPERSON FROINES: Janette, I saw a slide --
19 maybe you're going to get to it -- but where the five or
20 six chemicals that we identified were listed. And then
21 there was another slide that lists another five or six,
22 that I don't know what -- we never looked at those.

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

24 CHIEF MARTY: I'll cover it.

25 PANEL LIAISON BEHRMANN: It's Melanie's.

1 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

2 This slide shows the process that is used to
3 identify a substance as a toxic air contaminant. And also
4 it shows the role of the Scientific Review Panel in the
5 blue boxes there.

6 And Jim mentioned to you that it was the intent
7 of the Legislature that the identification and regulation
8 of air toxics utilized the best available scientific
9 information. And that that information should be gathered
10 from the public and others that do research. And that
11 the, and I'll quote, "The scientific research, on which
12 decisions related to health effects are based, should be
13 reviewed by and Scientific Review Panel and members of the
14 public". And this process diagrams shows that this
15 program reflects that legislative intent.

16 And it begins with the selection of a candidate
17 toxic air contaminant. And we are required by law then to
18 go out to the public and request information on the health
19 effects of that compound. And once we get that data back,
20 and many times we do get lists and lists of references, we
21 would make -- the next step would be we would make a
22 formal request to OEHHA to prepare -- begin preparation of
23 the health evaluation. And we would provide them with all
24 of those references that we got in the information request
25 that we went.

1 And then the next step is that the Air Resources
2 Board develops an exposure evaluation and concurrently
3 OEHHA is working on their health evaluation.

4 And as Dr. Froines mentioned, we have assigned
5 SRP leads for each of the chemicals, and we work with the
6 chemicals -- we work with the leads in developing the
7 report. And once it's in good shape, we release the
8 report for public review and we hold public workshops, and
9 we get those comments back. We summarize the comments and
10 respond to the comments. And then all of that information
11 goes -- is submitted to the Panel for their official
12 review.

13 CHAIRPERSON FROINES: Janette?

14 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

15 Yes.

16 PANEL MEMBER HAMMOND: Just a question kind of
17 comment. What you just mentioned, which I think is a very
18 important part of the process, is not actually reflected
19 on the slide, correct?

20 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

21 No, I've just added that, because the law --

22 PANEL MEMBER HAMMOND: I think it's very --

23 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

24 -- doesn't require it, but it's part of our
25 process that has worked well in the past.

1 CHAIRPERSON FROINES: What is this?

2 PANEL MEMBER HAMMOND: And that's always been
3 done?

4 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: I
5 think for the most part it has been done.

6 PANEL MEMBER HAMMOND: I mean, since I've been
7 on, it has been.

8 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
9 Right, it has.

10 PANEL MEMBER HAMMOND: And I think it's an
11 important part of the process. And I just wanted to point
12 that out. I know you were saying it, but it wasn't on the
13 slide.

14 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
15 Yeah, it's not on the slide.

16 CHAIRPERSON FROINES: Can I just comment on what
17 Kathy just said. The history of the Panel is that when
18 somebody's making a presentation, the Panel can interrupt
19 to ask questions under two criteria, one of which is, as
20 Kathy just did, to clarify something or if there's a major
21 issue they can interrupt. But otherwise, we tend to let
22 the speaker finish their talk and then have questions.

23 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

24 Okay. Well, so I got to the point where the SRP
25 reviews the identification report at a public meeting.

1 And typically there are revisions and questions and
2 clarifications and editing that needs to be done. And so,
3 ARB staff and OEHHA go back and we work with the assigned
4 leads to be sure that we respond to all the comments that
5 we've received from the Panel.

6 And then if the -- and it goes back for
7 reconsideration at another Panel meeting or however many
8 meetings it takes. But once the Panel determines that the
9 health effects report is based upon sound scientific
10 knowledge, methods, and practices, then the Panel would
11 prepare formal findings that they would submit to the Air
12 Resources Board.

13 And when those findings come to the Board, we use
14 those findings as a basis for our rule-making to list the
15 substance as a toxic air contaminant. It becomes a part
16 of our justification. And also, the peer review aspect
17 leads, you know, -- it leads credibility to the program
18 and what we're doing.

19 So the next step would be that we would hold a
20 public hearing where the Board would consider listing the
21 substance as a toxic air contaminant. And in the past
22 rule makings an SRP member has come to describe the
23 findings to the Air Resources Board at that hearing, and
24 any other comments that they'd like to make about the
25 chemical.

1 And then the last step is that the Board would
2 decide whether or not it would be formally listed in
3 regulations as a toxic air contaminant. And along with
4 the listing goes the decision on whether or not it's a
5 threshold or no threshold compound. And that does make a
6 difference to us, because the requirements for control are
7 a little different for a chemical that has a threshold
8 versus one that doesn't have a threshold.

9 CHAIRPERSON FROINES: There's a new EHP paper on
10 the issue of linear low dose response as a policy in
11 science. And I think it would be useful if Peter could
12 make that available to the Panel.

13 PANEL MEMBER GLANTZ: Can I just say something?

14 I just -- one other. Could you go back to the
15 previous slide for just one second.

16 I'm just trying to kind of -- for the new people,
17 I think it's very important. This definition is really
18 integral to everything we do. And the important word is
19 "may" I think. It's, "...an air pollutant which may cause
20 or contribute to an increase in mortality...", "...or
21 which may pose a present or potential hazard to human
22 health".

23 It doesn't say "which does". We just need to be
24 reasonably convinced that it does. And that's very
25 different. And I know for the people -- there are several

1 people here who are -- who work in biostatistics and
2 epidemiology. And those are people who can spend endless
3 amounts of time debating about causality. And that's
4 really -- the standard in the law is not an epidemiologic
5 causality beyond all shadow of a doubt kind of standard.

6 And so I think when you're reviewing these
7 documents, when you're reviewing the public comments, the
8 response to comments, the discussions with the agency, I
9 think it's very important to keep this definition that's
10 in the law in mind. And if -- I mean, it's true anything
11 might cause something. It doesn't say which might, but
12 the standard in the law is actually a health protective
13 standard.

14 CHAIRPERSON FROINES: But I think that one needs
15 to recognize something else, and that is it makes it a
16 little apples and oranges, which is the law requires the
17 agencies to develop quantitative measures of risk. So
18 this may be not vague, but may be very general, but the
19 requirement that there's a risk assessment is not vague at
20 all. So that the agencies may recognize this, but they
21 work at developing a quantitative risk assessment.

22 --o0o--

23 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

24 This slide I just -- we just wanted to leave it
25 with you. I'm not going to go through it, but it is the

1 Health and Safety Code citations that list out the
2 specific charge of the Panel in reviewing the
3 identification reports.

4 --o0o--

5 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

6 Dr. Froines kind of covered this, but I think it
7 is an important point where we have in the program
8 comprehensive risk assessments for 23 toxic air
9 contaminants that were peer reviewed by the Scientific
10 Review Panel. And then when Assembly Bill 2728 required
11 us to automatically adopt the 189 toxic air contaminants,
12 then the majority of those did not have a comprehensive
13 risk assessments.

14 And the way we translate that is in our risk
15 assessments, there's non-cancer health values for the
16 chemical and there's potentially a cancer risk number for
17 the chemical. And so, you know, we were left in a place
18 where there's risk assessment requirements under the Hot
19 Spots Act. And these things are listed as toxic air
20 contaminants, but there aren't any health values.

21 So it is a lot of work that the Panel has been
22 going through to look at the health values for already
23 identified TACs, but I think it's kind of just what we got
24 handed when we had to automatically adopt all of those
25 substances at once.

1 CHAIRPERSON FROINES: I think that one other
2 thing is I talked at some length about developing new
3 chemicals for our review. And I just want to point out,
4 the second chemical we ever did was ethylene dibromide and
5 ethylene dibromide, at that time, was not used in
6 California. And we complained, as a Panel, that we should
7 be given relevant chemicals, and ethylene dibromide was
8 irrelevant.

9 And so the issue for us should be that when
10 something comes forward, it has been vetted so that we
11 know that there is potential exposure and it's not a
12 trivial issue.

13 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: We
14 would agree. We would definitely agree with that.

15 --o0o--

16 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

17 And Richard had alluded to this, but following
18 the identification of a substance as a toxic air
19 contaminant, then we're required to assess the need for
20 control. And the control measures that we develop reduce
21 regional, community, and near source health risks.

22 And many control measures have been adopted. And
23 just on the slide I wanted to point out for the diesel
24 measures, I kind of summarized just the general categories
25 of controls. But there's in the order of about 14 air

1 things that we would be looking at. We would be updating
2 and applying the prioritization methodology once it's
3 updated.

4 We would be periodically updating the list of
5 candidate toxic air contaminants, which also was alluded
6 to. Are there some substances that they're not identified
7 as toxic air contaminants, but they are a serious public
8 health concern and we're just -- they're just not on our
9 radar screen. Well, that's something that's important for
10 us to look at.

11 And then for us to look at our top priority
12 candidates. And then come up with a list for recommended
13 formal identification, and then also are there some toxic
14 air contaminants that need health values.

15 And in 2007, we had met with the Panel and we
16 began working on an update to the prioritization
17 methodology. And our goal for this year is to get
18 approval from the Panel on the methodology, so that we can
19 recommend changes to the program plan.

20 And in the next two sides, I'll give you an
21 overview on how candidate TAC's are prioritized as well as
22 planned revisions.

23 --o0o--

24 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: In
25 this slide, we show that we apply a methodology that

1 examines aspects of health effects and data on public
2 exposure in California. And those six criteria that are
3 listed there are criteria that the Air Resources Board and
4 OEHHA are required by law to give priority to for the
5 identification and evaluation of a substance.

6 And you can see -- you can see those six things.
7 The two main applications of the prioritization are as a
8 screening tool to rank candidate toxic air contaminants,
9 but we also use it for the toxic air contaminants that
10 have already been identified that may need health values.

11 --o0o--

12 CHAIRPERSON FROINES: I think that you have to
13 add structure activity relationships to that list.

14 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
15 Okay.

16 CHAIRPERSON FROINES: So if you have a Michael
17 addition chemical, that should be on a list no matter what
18 the health effects literature says.

19 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

20 Okay. And I should have said that the
21 prioritization methodology mostly -- mainly covered these
22 six criteria, but we have been looking at other things as
23 well.

24 So I'll just spend a minute. I don't want to go
25 into a lot of detail, because that's not a topic of this

1 meeting, but we do have planned revision to the
2 prioritization methodology. It had originally been
3 approved by the SRP in 1990 and revised in 1993. And over
4 the years, there have been revisions that we have seen
5 needed to be made. We hadn't accounted for mobile source
6 emissions. We felt that exposure was weighted too
7 heavily, that carcinogenicity was weighted too heavily and
8 non-cancer effects were not. The prioritization didn't
9 account very well for children's health effects. So there
10 really is a need to change and to make some changes.

11 So we do meet with the Scientific Review Panel in
12 December of 2007 and proposed some changes. And there
13 were a lot of comments and suggestions that we should
14 consider in updating the prioritization. And we did have
15 a meeting in May of 2009 that Dr. Froines alluded to,
16 where we got a pretty good draft of changes, so what we
17 would like to do is have the Panel identify some
18 additional leads, because the original leads were Dr.
19 Froines -- well, not the original leads, but the most
20 recent leads were Dr. Froines, Dr. Atkinson, and Dr. Byus.
21 And we don't have those two members anymore. So if the
22 Panel wants, we'd appreciate, you know, knowing who our
23 new leads would be, so that we can get started again.

24 And just in general, the revisions that we're
25 working on have to do with changes in the point

1 distribution for the scoring, and new evaluation criteria
2 and children's health effects.

3 CHAIRPERSON FROINES: Is there any two people
4 who -- are there any two people who are new or old and
5 would like to become the leads?

6 PANEL MEMBER GLANTZ: For the prioritization?

7 CHAIRPERSON FROINES: Yes.

8 PANEL MEMBER GLANTZ: I thought I was doing that,
9 no?

10 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: We
11 always talked to you about it. I know that you're very
12 interested in it.

13 PANEL MEMBER GLANTZ: Yeah. I'll do it. I do it
14 all the time, don't I. That's just my hobby. That way I
15 don't have to know that much chemistry.

16 CHAIRPERSON FROINES: I would recommend that I
17 ask at a break Bill Nazaroff who knows some chemistry.

18 PANEL MEMBER GLANTZ: Yes, that would be good.

19 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
20 Okay. Great.

21 CHAIRPERSON FROINES: Or Janette talk to Bill
22 Nazaroff and he'll more likely say yes.

23 (Laughter.)

24 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: I
25 don't know about that. I know that he's a very busy

1 person.

2 --o0o--

3 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

4 All right. So for the next steps that we would
5 suggest, of course the first goal would be to have the
6 Scientific Review Panel review the prioritization
7 methodology. And we would work with the leads to prepare
8 the draft, so that it's in shape to present to the Panel.

9 And then once we do have approval of the
10 methodology, then we can utilize it, and we can finalize
11 our plan and come up with a list of top priority candidate
12 toxic air contaminants, and a list of toxic air
13 contaminants needing health values.

14 And we would -- what we would do is consult with
15 OEHHA and the SRP leads in preparing this report that
16 would be based -- you know, once we have our conclusions,
17 we would prepare a draft report, and we would send it out
18 for public review. And we would take all the comments,
19 summarize them, respond to them, and then the program plan
20 report with the comments and the responses would go to the
21 Panel -- the entire Panel for review at a meeting.

22 CHAIRPERSON FROINES: Janette. Melanie, are you
23 working with them at all in the context of 1879, 509? And
24 secondly -- I forgot what I was going to say -- so
25 that -- and also ToxCast and the EPA Chemical Review

1 Program?

2 So there a four laws that are relevant to this.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

4 CHIEF MARTY: Yeah, the laws that John referred to AB 1879
5 and SB 509 are the green chemistry statutes in California,
6 which OEHHA has a role in. And we have been working with
7 ARB on the prioritization methodology, focusing more on
8 updating for children's health issues.

9 Of course, the green chemistry concepts are in
10 our minds. And from that perspective, we have talked
11 about ways to get those kinds of information into the
12 thinking about prioritizing chemicals. But I can't say
13 that there's anything off the shelf that you could use at
14 this point in time.

15 And even the structure activity modeling is --
16 it's great for a limited set of chemicals, because it's
17 very chemical domain specific. So it may not be -- I
18 mean, it could be used, but it may not be as useful as we
19 would like it to be. But having said that, we are
20 thinking in those terms.

21 CHAIRPERSON FROINES: There's a new law that
22 requires industry who have to identify chemicals that are
23 used in cosmetics. And that's one that probably should be
24 looked at, and -- but also the ToxCast system is
25 going -- is the hottest thing in Washington, and that's

1 worth looking at.

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: We're looking at all of those in the context
4 of our role in SB 509.

5 PANEL MEMBER GLANTZ: Yeah, one other thing. The
6 process historically has been heavily weighted toward
7 cancer. And now we have like an environmental
8 cardiologist on the Panel. And the evidence linking
9 environmental toxins to cardiovascular disease has really
10 exploded in the last 10 years. There have been a couple
11 of really good reviews and circulation, and circulation
12 research.

13 And so I think in developing the prioritization,
14 I think you need to take those effects into account too,
15 particularly because a lot of them are probably a lot
16 faster than the cancer things, where you're -- I mean,
17 there are no doubt chronic effects, but, you know, if you
18 look at tobacco smoke, for example, the great bulk of that
19 effects are acute.

20 And C. Arden Pope published a really nice paper
21 about a year and a half ago now in circulation, where he
22 looked at particulates and heart disease risk and showed
23 that air pollution, passive smoking, and active smoking
24 all fell pretty much along a single curve.

25 And so I think strong oxidants are very

1 important. So I hope, in developing the prioritization
2 scheme, you'll work that in.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

4 CHIEF MARTY: Well, the acute and chronic systemic
5 toxicity is definitely considered. It's not just
6 carcinogenicity. And we are very well aware of the number
7 of chemicals that impact the cardiovascular system.

8 CHAIRPERSON FROINES: Is there any look at
9 endocrine issues or neurodevelopment prenatal issues?

10 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

11 CHIEF MARTY: Yes, all of the above, in as much as there
12 is information on the chemicals. Remember, that it's nice
13 to be able to say yeah we're going to cover all these
14 endpoints, but generally, and on the whole, we are dealing
15 with chemicals for which there aren't regulatory
16 requirements for testing. So therefore, there are
17 gigantic data gaps.

18 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

19 And that basically concludes what we had prepared
20 for you today.

21 CHAIRPERSON FROINES: Okay. Thank you. Are
22 there questions from the Panel?

23 Jesús should be required to have a question after
24 what Stan said.

25 (Laughter.)

1 PANEL MEMBER ARAUJO: But actually I do have a
2 question, which is in relation to the prioritization. So
3 you're talking about there are like about 200 compounds
4 that are considered toxic air contaminants, but only 23 or
5 29 has been reviewed by the Panel.

6 So so far, what have been the criteria to select
7 those 23 or 29? Is there an intention that all compounds
8 that appear or some leads to another will eventually be
9 evaluated? Or there has to be like a specific or State
10 need that is very specific in order to do that?

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
12 CHIEF MARTY: Yeah. I think to clarify, there's 23 that
13 came through the Air Resources Board under the original
14 process, which required what Janette just laid out, the
15 exposure assessment and the risk assessment.

16 Of the 189 HAPs, there wasn't an automatic risk
17 assessment that came with them. But for many, many of
18 those, we have cancer potency factors and have developed
19 reference exposure levels for non-cancer endpoints. Those
20 things have gone through the Panel over the years.

21 So it's not to say that those 189 have no health
22 values associated with them. There's still lots of work
23 to do within that group of chemicals.

24 CHAIRPERSON FROINES: Just for future reference
25 for the Panel, just going to his point, there is a

1 substance that is listed in the 189, which is polycyclic
2 organic matter. And that there is -- and what's not
3 listed is polycyclic aromatic hydrocarbons. And I think
4 we need a discussion about how we're going to deal with
5 both the individual compounds, as well as the collective
6 group, because PAHs -- polycyclic organic matter doesn't
7 mean anything to anybody. Whereas, PAHs means a lot to a
8 lot of people. And how we deal with that, both in terms
9 of individual chemicals or a collective body, is really
10 quite important.

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

12 CHIEF MARTY: Okay. Just a couple comments on that. The
13 PAHs are subsumed under POM. They're part of it. We also
14 have listed benzo[a]pyrene. And we have 24 potency
15 equivalency factors for polycyclic aromatic hydrocarbons.
16 We did that under the regular TAC process some time ago,
17 1990.

18 CHAIRPERSON FROINES: Melanie, I agree with you.
19 But the question that I have, and I don't want to go back
20 through that history of benzo[a]pyrene, because it was
21 unpleasant. The issue is how much how many regulations
22 and control measures followed, because I don't believe
23 you.

24 I think that PAHs are not subsumed under
25 polycyclic organic matter. And that's rhetoric. And that

1 we need to deal with chemicals that people are writing
2 papers about that are about their toxicity.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

4 CHIEF MARTY: Yeah. I'm not being rhetorical. I'm just
5 saying that polycyclic aromatic hydrocarbons have been
6 identified TACs when we identified benzo[a]pyrene, and the
7 24 other potency equivalency factors that we had. So
8 that's only 24 of them. And there are many others, as you
9 know, that are atmospheric transformation products that we
10 would like to deal with when we actually get data.

11 So it's -- you know, I understand that. And from
12 the Air Board perspective, Richard will talk about the
13 control measures.

14 ARB STATIONARY SOURCE DIVISION CHIEF COREY:

15 Yeah. I was just going to go back to the point I
16 touched on earlier. And it is the fact that even though a
17 given substance, Diesel PM may have been the TAC, the fact
18 of the regulatory action to reduce PM yields benefits of a
19 whole host of substances ID'd or otherwise, not to mention
20 co-benefits of other pollutants related to them as well,
21 so that many of these co-benefits that are yielded through
22 those actions that are not explicitly called out here.

23 CHAIRPERSON FROINES: Well, I think there's an
24 issue of -- this is an important issue, and that is we
25 identify -- we have 200 TACs. And the question is to

1 what -- and theoretically the Panel isn't involved in
2 this, but the question remains, how many of those 200 have
3 had control measures initiated to address risk?

4 ARB STATIONARY SOURCE DIVISION CHIEF COREY:

5 Right. And I think that kind of goes back to I
6 think the discussion when we moved forward, in terms of
7 the whole prioritization, is going to be a fairly -- I
8 think it will be a useful discussion.

9 But even for those measures that have been
10 adopted, traditionally that was a fundamental question, in
11 terms of what do we know about the health impacts, what do
12 we know about the emissions, what do we know about the
13 exposure, and then going to the cost effectiveness
14 technological feasible options for reductions from that
15 exercise. And I think this has been repeated multiple
16 papers and analyses, Diesel clearly rose to the top and
17 several of those diesel measures have. Benzene, the
18 benzene measures have.

19 As you reach down into other substances, there
20 are a few where there are regional benefits certainly, but
21 a number it's a local issue. It is a local high-risk
22 near-source exposure. Not that it's not necessarily a
23 candidate for regulatory action. Some of them are, but
24 that's the kind of analysis that's really necessary as we
25 look at these things. It's, one, what's the toxicity of

1 the substance. Two, what's the nature of the exposure.
2 Is it a regional related issue? Is it a near-source
3 issue? What are the options for actually getting the
4 gains, getting the benefits?

5 And that clearly is a -- that risk management
6 process as it plays itself out for the public and
7 ultimately before the Board in balancing these policy
8 considerations.

9 CHAIRPERSON FROINES: Paul.

10 PANEL MEMBER BLANC: I just had a question,
11 agenda question. My sense is that we've sort of drawn to
12 the end of your session. And I think the next thing on
13 the agenda is the dioxin question and whether or not,
14 Melanie --

15 PANEL MEMBER HAMMOND: Melanie.

16 PANEL MEMBER BLANC: You're still going to talk,
17 Melanie.

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
19 CHIEF MARTY: Yeah, and then DPR.

20 PANEL MEMBER BLANC: Well, there's no hope that
21 we could do dioxin before the lunch break. But I would
22 suggest then that we move forward, because otherwise we're
23 never going to finish in any appropriate fashion what is
24 we want to do today.

25 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

1 CHIEF MARTY: We'll be very fast.

2 CHAIRPERSON FROINES: I'm the person who's spoken
3 the most, Paul. And I'm doing it on purpose, because I'm
4 doing it so the new Panel members see the issues that we
5 have to deal with. So you may find it slow, but at least
6 I thought it was relevant.

7 PANEL MEMBER BLANC: I was just talking
8 prospectively. I wasn't talking retrospectively, and just
9 if we can go forward.

10 PANEL MEMBER GLANTZ: Okay, let's move forward.

11 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

12 We'll move forward. I just have one really quick
13 thing to say, because we do have new Panel members, and I
14 don't want them to think that there's not control measures
15 for the HAPs, because the federal government does have
16 national emission standards for hazardous air pollutants.
17 They've 121 of them that address a majority of the HAPs.

18 But, I mean, we know that of the control measures
19 we have in California, if we have an overlapping one with
20 the federal government, we're typically more stringent.
21 But there are these other NESHAPS that are in place that
22 the facilities in California have to comply with.

23 CHAIRPERSON FROINES: Are there max standards
24 that fit as well?

25 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

1 There probably are, but I'm not a real expert on
2 the max standards. I think the max standards were more
3 for criteria pollutants.

4 PANEL MEMBER GLANTZ: I think we -- I want to
5 agree with Paul, though, I think we do need to -- I agree
6 with you that we need to sort of help the new people
7 learn, but I also think we want to let them finish, so we
8 can get to the two compounds.

9 CHAIRPERSON FROINES: That's fine.

10 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
11 I'm assuming we're dismissed? And Melanie is
12 next.

13 (Thereupon an overhead presentation was
14 Presented as follows.)

15 OEHHA DEPUTY DIRECTOR ALEXEEFF: Hi. I'm George
16 Alexeeff. I'm Deputy Director of Office of Environmental
17 Health Hazard Assessment. And I wanted to welcome the
18 reappointed members and the newly appointed members. And
19 you know, to us the Scientific Review Panel is a very
20 important Panel for our Department. A lot of our work is
21 reviewed by this Panel. And we get a lot of useful
22 suggestions on how to improve our assessment process from
23 this Panel.

24 And just as from my -- I thought I'd mention a
25 couple of things. I started working in the Health

1 Department on this program in 1986. And my first task was
2 to develop a health assessment document for this program.
3 So I've been involved with this for quite a long time.
4 And I note that for -- there's a slide that Dr. Froines
5 put up about benzene, the benzene assessment, and that was
6 done in 1984.

7 And in our other programs that we have, we often
8 come across the same chemicals. And when we reviewed
9 those chemicals, we try to determine whether or not we
10 need to change our assessment. And so like, for example,
11 for benzene, I believe it was in 2003 or 2004 we reviewed
12 it under their water program, or Public Health Goal
13 Program. And, at that point, in addition to the Rinsky
14 data, we now had all the data from China, from the NCI
15 studies.

16 So we reviewed all that to see if there was a
17 reason to bring benzene back to the Panel. And it turned
18 out that the current assessment held up. I mean, the
19 Rinsky data held up. So there was no reason to bring it
20 back to the Panel.

21 And I wanted to mention there was -- I brought a
22 copy of this one. This is our hexavalent chromium
23 document, which was approved in 1985. And that one we've
24 just released our second draft of the hexavalent chromium
25 document for drinking water. And in that process, we

1 reviewed the more recent Gibbs studies and other
2 epidemiologic studies that have occurred on hexavalent
3 chromium to see if that suggested a bringing back
4 hexavalent chromium to the Panel. And we found the number
5 that had been reviewed and suggested by the Scientific
6 Review Panel was right within the range of the Gibbs data,
7 so there was no reason to bring that hexavalent chromium
8 back to this Panel.

9 So that is something we're continually doing.
10 And I just want to let you know that. So even though some
11 of these -- it's actually -- I'm amazed how well every
12 number has held up from the Scientific Review Panel so
13 far.

14 That's not to say -- you know, so we keep
15 checking them. The other one I wanted to -- Dr. Froines
16 mentioned about methylene chloride. And that was one
17 where the Panel had suggested that we use
18 pharmacokinetics. We had been reluctant to use it. We
19 were suspicious of it at that time. And they suggested
20 that we had to consider it in our assessment, which we
21 did, which actually was an improvement.

22 And then we went on to perchloroethylene which
23 Dr. Froines also mentioned. We used pharmacokinetics
24 again, but then we even went a step further and did a
25 special analysis on the variability of the kinetic

1 parameters.

2 So that was something that was a another thing
3 that the Panel reviewed and gave us useful feedback on.
4 And then, of course, diesel exhaust was suggested --
5 mentioned earlier several times actually. And again, that
6 was one that the Panel worked with us through a very long
7 period of time, about eight years for a lot of different
8 reasons. But, you know, we had some workshops and a lot
9 of -- well 10 years for you, eight years for me, I guess,
10 eight to 10 years, let's say.

11 And there was a lot of useful discussion. And we
12 did a lot of additional analyses, in part response to
13 public comments on our document. And the Panel ended up
14 suggesting a proposed number, which is actually the number
15 that is used for the program here.

16 And so that's something that -- you know, so the
17 Panel has been very important to us and to, you know, the
18 State as well as the country, because, as it turns out,
19 UCP doesn't have a quantitative number, so they use our
20 number for their mobile sources program.

21 CHAIRPERSON FROINES: George, can I just say one
22 thing. And that is that we -- Stan mentioned it earlier,
23 we had at least one, if not three or four, workshops on
24 diesel. And so I think it's important to let the new
25 members know that one option we have is to hold workshops

1 and bring in high powered scientists on specific
2 substances. And that I think is very valuable.

3 We did an organophosphate, and we did an
4 exposure, and we did diesel. And that's an option that is
5 very -- is particularly valuable, in terms of raising the
6 level of scientific discussion.

7 OEHHA DEPUTY DIRECTOR ALEXEEFF: Agreed. It was
8 very helpful to kind of breakdown the science into the
9 actual specific issues and to see what people agreed upon
10 and what they didn't. And as Dr. Glantz had pointed out
11 when he'd mentioned did everybody agree about that it was
12 a TAC or not.

13 Anyway.

14 And then on environmental tobacco smoke, the
15 Panel was very helpful in, first of all, suggesting that
16 we work on it. And there was reluctance upon the state,
17 because it was unclear how one would control that.

18 But we had released a document, which ended up
19 becoming an NCI volume in 1999. This was not officially
20 part of the TAC program, but it did go through the SRP.
21 And then after continued suggestions from the Panel, we
22 did take the environmental tobacco smoke through the SRP
23 process. And the document has been very instrumental in
24 not only helping address the issue in the state, but
25 nationwide and around the world. And our lead scientist

1 has been giving presentations in South America and other
2 places to help support their local regulations in other
3 countries. So the work here, I'm just trying to let you
4 know, it's very important.

5 And we also, you know, a lot of these things are
6 kind of ground breaking. Then I wanted to mention we'll
7 be talking about SB 25. And that was the children's
8 health bill. And in that case, we have developed some
9 guidelines which came through the Panel, and the Panel has
10 reviewed. And again with the hexavalent chromium public
11 health goal that we just released as a draft, we
12 incorporated in there an application of the cancer
13 guidelines, which was approved by this Panel. And that's
14 an age-adjusted cancer risk assessment.

15 And so the work that's done by this Panel is used
16 not only in the air programs, but in all of our programs
17 in OEHHA. And I just wanted to underscore the importance
18 and, you know, thank you for willing -- your willingness
19 to serve on this Panel. And the work that you do is very
20 important. So I just want to thank you for that.

21 PANEL MEMBER BLANC: George, do you want to
22 comment specifically on two parts of that. You had
23 mentioned that follow-up data had tended to reconfirm the
24 original quantitative estimates for -- I think you alluded
25 to benzene and --

1 OEHHA DEPUTY DIRECTOR ALEXEEFF: Hexavalent
2 chromium.

3 PANEL MEMBER BLANC: Yeah. Any comment on the
4 subsequent epidemiology on diesel risk for cancer, which
5 has tended to reach a similar point estimate of excess
6 risk, and also specifically in terms of ETS the ground
7 breaking findings of your document in terms of the
8 association between secondhand smoke and breast cancer.

9 OEHHA DEPUTY DIRECTOR ALEXEEFF: Yeah. Thank you
10 for that. Yeah, we continue to monitor the diesel exhaust
11 literature, because as I think Richard Corey mentioned,
12 that is in the State is considered one of the top
13 priorities for control.

14 So in our assessment, it's based upon an upper
15 bound estimate. So we are constantly looking at the data
16 to see if the evaluation is consistent with that. And if,
17 for some reason, it changes, we will bring it back to the
18 Panel. But at this point, we find it to be -- the
19 additional studies tend to support the early findings.

20 And the same thing with the breast cancer result,
21 that was something that was discussed extensively by this
22 Panel was where data that we had seen and our staff had
23 brought to everyone's attention that breast cancer seemed
24 to be associated with environmental tobacco smoke, but it
25 was a little bit of a complicated analysis with age and

1 things like that, age of exposure.

2 And more recent studies have begin to confirm
3 that. So that is something that this Panel helps identify
4 some issues, again which may cause -- which generate
5 additional studies and often the additional studies have
6 confirmed the previous work.

7 CHAIRPERSON FROINES: On that one, I just want to
8 stay that Kathy Hammond should get gold stars, because she
9 really focused on the difficulties of addressing exposure
10 assessment and that was very valuable I think. And it
11 affected our view of the data that existed.

12 OEHHA DEPUTY DIRECTOR ALEXEEFF: Well, I think
13 Dr. Hammond deserves lots of gold stars for diesel exhaust
14 as well, and I think a lot of the Panel members do,
15 because what happens in this Panel meeting, which is
16 sometimes very painful to staff, is that you ask us to
17 kind of breakdown the information a little bit more and to
18 tease out the information to really lay it out, because,
19 of course, sometimes we lay it out in sort of a scientific
20 format. And for us it might be clear how it follows, but
21 it may not be clear for people beyond this Panel.

22 And in order to have the assessment have its full
23 weight, it has to be made accessible to more than just the
24 Panel and the staff. And that's something that the Panel
25 really helps us do.

1 CHAIRPERSON FROINES: I wish you would, Melanie,
2 do me a favor and send me the one- or two-page document
3 that you prepared that listed the range of risk and how
4 you came to the final number. And then we can have that,
5 and it will be a nice example of in future times.

6 OEHHA DEPUTY DIRECTOR ALEXEEFF: Is this for
7 diesel exhaust in particular or --

8 CHAIRPERSON FROINES: Yeah, for diesel. You
9 know, where it was --

10 OEHHA DEPUTY DIRECTOR ALEXEEFF: Yes, we know.

11 CHAIRPERSON FROINES: It was basically a mean
12 versus median discussion.

13 OEHHA DEPUTY DIRECTOR ALEXEEFF: So now I'd like
14 to turn it over to Dr. Melanie Marty who's the Branch
15 Chief for the Air Toxicology and Epidemiology Branch.

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
17 CHIEF MARTY: Good morning, almost afternoon. I'm going
18 to go through these pretty quickly, because a lot of
19 you've already heard.

20 --o0o--

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: Under -- and this is OEHHA's role in the
23 Toxic Air Contaminants Program. We conduct the health
24 effects assessments for candidate TAC's, which become Part
25 B of ARB's report identifying a chemical as a toxic air

1 contaminant.

2 PANEL MEMBER GLANTZ: Part A is the exposure
3 assessment.

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

5 CHIEF MARTY: Right. Part A is produced by ARB. It's the
6 exposure assessment.

7 In order to do these reports, we evaluate all the
8 available literature published, and gray literature. We
9 write up huge documents presenting the available
10 information, and we conduct quantitative risk assessments
11 of the chemical. And the risk assessments include the --
12 whether it is a linear dose response, a non-linear does
13 response, and so forth.

14 So it gets at this threshold issue that was
15 brought up earlier. The documents undergo public comment.
16 We respond to comment. And the Scientific Review Panel
17 reviews the health effects assessment, as well as the
18 public comments and the responses to our comments. And
19 oftentimes, there's more than one iteration of this.

20 --o0o--

21 CHAIRPERSON FROINES: Are you going to, in the
22 future, look at the issue of upstream versus downstream
23 effects?

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

25 CHIEF MARTY: We are dealing with that in OEHHA now. And

1 yes, we are going to be looking at that on some of our
2 other assessments for other programs. For example, for
3 the perchlorate PHG were based on a relatively upstream
4 effect that is inhibition of iodine uptake by the thyroid.
5 So we are looking at those kinds of issues.

6 --o0o--

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

8 CHIEF MARTY: But Janette already mentioned the Air Toxics
9 Hot Spots Program, where it's site specific, looking at
10 emissions inventories, and then the air district decide
11 which of those facilities they deem high risk.

12 And the risk assessments that are conducted by
13 those facilities use risk assessment guidelines that OEHHA
14 has developed. So we adopted risk assessment guidelines
15 for the toxics emissions from stationary sources, subject
16 to the Air Toxics Hot Spots Program.

17 This included technical support documents for how
18 we derived the non-cancer reference exposure levels, which
19 for the new members are similar to the EPA RFCs. You've
20 probably seen those more often.

21 We have technical support documents for how we
22 derive cancer potency factors, and that compile available
23 cancer potency factors. And then we have an exposure
24 assessment guideline, which includes distributional
25 analyses of different exposure parameters, that can be

1 used in a stochastic assessment.

2 PANEL MEMBER GLANTZ: And all of those documents
3 came through and were approved by the Panel.

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

5 CHIEF MARTY: Exactly. Stan is jumping ahead to the next
6 slide.

7 PANEL MEMBER GLANTZ: Okay. Just so you people
8 think that we didn't spend all these years doing 23 TACs.
9 There's lots of other stuff.

10 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

11 CHIEF MARTY: There's also a guidance manual, which puts
12 it all together for the people who have to conduct the
13 risk assessments and that also went through the Panel
14 review.

15 --o0o--

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

17 CHIEF MARTY: So this is just the Health and Safety Code
18 Section that talks about the Scientific Review Panel,
19 evaluating the guidelines adopted by OEHHA and
20 recommending changes in additional criteria. So that's
21 what the statute actually says.

22 So the SRP reviewed the initially risk assessment
23 guidelines in 1999 and 2000, and will review any
24 amendments to those guidelines or revisions of those
25 guidelines, including any new or revised reference

1 exposure levels and cancer potency factors that are used
2 in the program. And this is actually one way that we're
3 chomping through those 189 hazardous air pollutants by
4 developing numbers that are going to be used in risk
5 assessment. And that can be used then by the Air Board
6 for looking at emissions of those substances.

7 --o0o--

8 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

9 CHIEF MARTY: Janette already mentioned the Senate Bill
10 25, which was the Children's Environmental Health
11 Protection Program. In 1999, the statute was passed, and
12 we have a few rules in that. One is to identify toxic air
13 contaminants which may disproportionately impact
14 children's health. And it's existing toxic air
15 contaminants, not new chemicals. We also are required to
16 consider infants and children when we do quantitative risk
17 assessments in two programs, the Criteria Air Pollutant
18 Program, which my group also is responsible for making
19 recommendations to the Board about the standards -- and
20 you guys aren't involved in that process -- and the Toxic
21 Air Contaminant Program, which you also are involved in.

22 --o0o--

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

24 CHIEF MARTY: So the statute actually requires that we had
25 to consider exposure patterns of infants and children and

1 how they might differ from adults, and the special
2 susceptibility of infants and children relative to adults.

3 So we have identified existing TACs that
4 disproportionately impact kids. And the statute actually
5 says that may cause infants and children to be especially
6 susceptible to illness. That's the statutory language.
7 The law required us to come up with an initial list of
8 five, which we went through in 2001, I'm thinking. And
9 then to update the list periodically by reviewing the
10 toxic air contaminants, any of the risk assessments that
11 were done for those, and making sure that the risk
12 assessments are adequately protective of infants and
13 children.

14 The Scientific Review Panel reviews the list, so
15 they reviewed the initial list of five and the reasons for
16 the listing. And as we move through our reference
17 exposure levels and cancer slope factors, we are
18 identifying chemicals that we think should go onto that
19 list and the Panel reviews that while they're reviewing
20 the risk assessment. And then, opines about whether they
21 should be listed or not as disproportionately impacting
22 children

23 CHAIRPERSON FROINES: Melanie?

24 PANEL MEMBER GLANTZ: And just so people know,
25 the Panel had quite an influence on which five ended up

1 getting picked too.

2 CHAIRPERSON FROINES: Melanie, I don't know if
3 you know Cory-Slechta's work at Rochester --

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

5 CHIEF MARTY: Yes.

6 CHAIRPERSON FROINES: -- in which she looked at
7 maneb and paraquat. And, in this case, it was postnatally
8 in mice. And then dosing adults led to Parkinson's
9 disease, so that there's this issue of fetal exposure and
10 adult outcomes. Are you, in any way, able to look at that
11 issue?

12 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

13 CHIEF MARTY: Yes. Actually, we have been looking at that
14 issue, where there are data for chemicals that we're
15 evaluating. And we specifically looked at those papers
16 from Cory-Slechta, when we were looking at manganese.

17 So we are looking at that issue. It's one of the
18 major concerns that we have. You can't predict effects
19 when exposure occurs prenatally or postnatally by just
20 looking at adult animals.

21 PANEL MEMBER BLANC: Melanie, can you clarify if
22 SB 25 is limited in its charge to you to examine such
23 chemicals that are already listed as toxic air
24 contaminants or can you take up a chemical not yet listed
25 as a TAC on the basis of its likely preferential effects

1 or exposure to children?

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: Yeah, the way the statute reads, it's
4 specifically existing toxic air contaminants. But having
5 said that, in a second, when we first went through the
6 Panel talking about our prioritization of chemicals to get
7 onto that list, everybody said why aren't you looking at
8 ETS? It's because it wasn't yet a TAC.

9 But when it was brought to the Panel for
10 consideration of the health effects assessment and the
11 exposure assessment, we had language in the document
12 saying this -- if this gets identified as a TAC, it should
13 go onto this list. So that's one way that we can get
14 chemicals onto the list.

15 CHAIRPERSON FROINES: It's interesting that we
16 listed acrolein, which would immediately say to you we
17 should list acrylamide. And that's an interesting issue
18 about how do you structure to expand the number that are
19 listed.

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

21 CHIEF MARTY: Yes.

22 --o0o--

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

24 CHIEF MARTY: Okay. So this --

25 OEHHA DEPUTY DIRECTOR ALEXEEFF: I'm sorry. Just

1 to clarify Dr. Blanc's question. So in the criteria for
2 identifying toxic air contaminants, we are supposed to
3 consider the factors that were mentioned by Dr. Marty here
4 in terms of exposure patterns that affect children and
5 susceptibility and that sort of thing. So we are supposed
6 to take those into account as we review a chemical for
7 becoming a toxic air contaminant.

8 PANEL MEMBER BLANC: And therefore by extension,
9 that could be one of the things that impacts
10 prioritization.

11 OEHHA DEPUTY DIRECTOR ALEXEEFF: Yes. In fact, I
12 think Janette had mentioned that that was something that
13 we were now incorporating into the prioritization process.

14 PANEL MEMBER BLANC: Okay.

15 CHAIRPERSON FROINES: But if you listed PAHs, but
16 the only thing we have in the 189 is the other
17 definition -- what is it that I said earlier?

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
19 CHIEF MARTY: Polycyclic organic matter.

20 CHAIRPERSON FROINES: Is that a conflict?

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: I don't think so, because the -- well,
23 benzo[a]pyrene was done in '90. When was the -- the HAP
24 identification was 1993.

25 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

1 Benzo[a]pyrene was just about that same year,
2 like 1992 or 1993.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
4 CHIEF MARTY: Yeah. So we were already in the process
5 with Benzo[a]pyrene and the 24 to 26, I can't remember the
6 number, potency equivalency factors.

7 And if I'm not mistaken, Janette correct me if
8 I'm wrong, you guys actually sent a letter to U.S. EPA?

9 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
10 Yeah, we did.

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
12 CHIEF MARTY: Regarding whether PAHs were under the POM
13 designation of HAP, is that correct?

14 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS: We
15 specifically asked them what -- how do you define POM.
16 And we do have that letter. It's an old letter, but we do
17 have it.

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
19 CHIEF MARTY: So the conclusion was it is -- that all PAHs
20 are HAPs because they're TACs.

21 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:
22 Even though, it's a sub-category of the umbrella
23 category, it's fine, was our opinion.

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
25 CHIEF MARTY: Okay, this is just listing the five TACs

1 that were initially identified with the first go round.
2 Diesel PM, dioxins, lead, acrolein, and PAHs.

3 --o0o--

4 CHAIRPERSON FROINES: But I'm going to quarrel
5 with you, because we have naphthalene, phenanthrene and --

6 PANEL MEMBER GLANTZ: I think we need to let them
7 finish.

8 CHAIRPERSON FROINES: Let me say what I want to
9 say, Stan.

10 (Laughter.)

11 PANEL MEMBER GLANTZ: I mean, we're not going to
12 get to this stuff.

13 CHAIRPERSON FROINES: It doesn't matter. The
14 point is we have phenanthrene. We have anthracene. We
15 have naphthalene. We have a whole bunch of vapor phase
16 PAHs, and are they in or out by your definition?

17 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
18 CHIEF MARTY: They are in by the definition. In fact, we
19 developed a slope factor for naphthalene.

20 OEHHA DEPUTY DIRECTOR ALEXEEFF: Yeah.

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: Somewhere where around the 2000 time period,
23 post NTP.

24 OEHHA DEPUTY DIRECTOR ALEXEEFF: If you want, Dr.
25 Froines, we can come back at another meeting and just kind

1 of go over it.

2 CHAIRPERSON FROINES: No, that's okay. I just
3 wanted to make it a short question.

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
5 CHIEF MARTY: Okay. Then subsequent to the initial
6 listing, I mentioned ETS already when that was identified
7 as a TAC, it went onto the list of TACs that
8 disproportionately impact kids.

9 And then we recently conducted risk assessments
10 for formaldehyde, acetaldehyde, mercury, manganese, and
11 arsenic through SB 1731 as part of the update to the
12 guidelines to incorporate explicitly infants and children.
13 And while we did that, we, in the document, say that these
14 should be listed as TACs and the SRP agreed, and so these
15 are chemicals are also now on that list.

16 --o0o--

17 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
18 CHIEF MARTY: The Senate Bill 25 also triggered --

19 CHAIRPERSON FROINES: But we had reviewed them.

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
21 CHIEF MARTY: Yes. You reviewed it as -- remember, when
22 you guys were reviewing the reference exposure levels for
23 those chemicals, part of that document.

24 CHAIRPERSON FROINES: Okay, thank you.

25 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

1 CHIEF MARTY: The Children's Health Act also triggered us
2 to reevaluate our risk assessment methodologies to ensure
3 they're child protective, because we were supposed to be
4 going through all the TACs to make sure that the
5 assessments are child protective.

6 So we completed updates of those initial risk
7 assessment guidelines, parts of them for the -- how we
8 determine not cancer reference exposure levels and slope
9 factors, and the six new chemicals. Those were reviewed
10 by the Panel in 2008 and 9.

11 And the cancer slope methodology and application
12 of the cancer slope factors includes a weighting by age at
13 exposure for the carcinogens when you're estimating risk.
14 I would also, for the non-cancer reference exposure
15 levels, it includes considerations of toxicokinetic and
16 toxicodynamic differences by age for developing those
17 reference exposure levels.

18 --o0o--

19 PANEL MEMBER GLANTZ: And, as I recall, the
20 methodologies -- I was involved in that too -- the
21 methodologies you developed were really path breaking,
22 weren't they? Nobody had ever done the kind of stuff you
23 guys developed working with the Panel, right?

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

25 CHIEF MARTY: Some of it. Yeah, I mean the U.S. EPA also

1 either through listing a TAC or through the development of
2 new or revised reference exposure levels and slope --
3 cancer slope factors. And the SRP also reviews updates
4 for our risk assessment methodologies.

5 --o0o--

6 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

7 CHIEF MARTY: So the work in progress right now that's
8 coming to the Panel. We have the update to the dioxin
9 TEF, which has been sent to the Panel as the next item.
10 This is a revision to the appendix of our risk assessment
11 guidelines, specifically the technical support document
12 for developing cancer slope factors.

13 We have some reference exposure levels for
14 non-cancer health endpoints that have been sent to the
15 Panel for caprolactam. And then we have ones under
16 development have already done through the public comment
17 period for nickel, TDI, and MDI. And we hope to get those
18 in the spring to the Panel. We have the final technical
19 support document for our update relative to children, that
20 deals with exposure assessment, where we reevaluated all
21 those exposure parameters in our initial guidance document
22 to specifically do a better job of incorporating -- we had
23 tried incorporating kids before. There's now more
24 information to use, so we have additional information on
25 exposure of infants and children.

1 And then the guidance manual, which is the
2 how-to. And those two things are coming to the Panel in
3 2011.

4 CHAIRPERSON FROINES: There are more isocyanates
5 of concern than those two. Are you sure you're covering
6 the whole waterfront?

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
8 CHIEF MARTY: We're covering those two at this point. And
9 this was in response to a request to develop RELs
10 specifically for those two.

11 CHAIRPERSON FROINES: Can somebody in OEHHA
12 prepare a list of other isocyanates that may be relevant
13 to take up at some point?

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
15 CHIEF MARTY: Sure. When we do -- when we develop
16 reference exposure levels, it's generally because we've
17 been asked to by either the Board or an interested party
18 or sometimes the air districts, where they have a chemical
19 that's being emitted from a facility and they don't have
20 anyway to deal with it, because they don't know anything
21 about the health effects. So that's how we've been doing
22 it in the last several years.

23 And we can look at the other isocyanates. But
24 whether these reference exposure levels would be able to
25 cover those other isocyanates or not is a -- you'd have to

1 do a whole assessment of the other isocyanates first to
2 figure that out.

3 CHAIRPERSON FROINES: Ellen asked a question
4 earlier, and I just wanted to ask you, do you ever -- do
5 you have any mechanism by which you look into the question
6 of alternatives?

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
8 CHIEF MARTY: Alternatives to use of a specific chemical
9 by an industrial process?

10 CHAIRPERSON FROINES: Yes.

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
12 CHIEF MARTY: No. OEHHA does not get involved in that.

13 CHAIRPERSON FROINES: Does that --

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
15 CHIEF MARTY: We're don't have -- we're not engineers.

16 CHAIRPERSON FROINES: Is that ARB?

17 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
18 CHIEF MARTY: That would be the Air Board and Richard
19 can --

20 ARB STATIONARY SOURCE DIVISION CHIEF COREY:

21 Yeah. I just wanted to add to that. It really
22 is a measure by measure type of question. But if you go
23 back to the example that had been put out with respect to
24 dry-cleaning and the phase-out of perc. A key question
25 with respect to that was, are there alternatives

1 available? So that clearly any mitigations effort that we
2 pursue, part of the question is what are the opportunities
3 for getting the emissions down. And one of those for a
4 number of regulations that have been adopted is the fact
5 that there are substitutes. That comes into play in the
6 consumer products program. It came into play in perc, and
7 has come into play in others.

8 And there are different ways to get at that. One
9 is, in some cases, a substance is just banned, not
10 allowed. Others, the limits are set so low, it
11 effectively encourages a migration to an alternative. But
12 assessing the viability and availability of alternatives
13 is part of the overall assessment of mitigation options,
14 it is.

15 CHAIRPERSON FROINES: We have a grant from Robert
16 Wood Johnson Foundation to identify alternatives for lead.
17 You guys might be interested in that.

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
19 CHIEF MARTY: Okay, so DPR is next.

20 CHAIRPERSON FROINES: Melanie, I think it would
21 be useful if the Panel received 1879 and 905, just so they
22 have it in their --

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
24 CHIEF MARTY: Sure, I can just email it.

25 CHAIRPERSON FROINES: They're going to hear about

1 it over and over and over again, because it's the hottest
2 topic going. And I suspect most of you don't -- haven't
3 been familiar with it.

4 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Good
5 afternoon. I'm MaryLou Verder-Carlos. I'm Assistant
6 Director for the Pesticide Programs Division for the
7 Department of Pesticide Regulation. And I oversee the
8 risk assessment process for the Department.

9 (Thereupon an overhead presentation was
10 Presented as follows.)

11 DPR ASSISTANT DIRECTOR VERDER-CARLOS: We have
12 been working with the Panel for a number of years now.
13 Although, I have worked with them only for the last couple
14 of years on one chemical, chloropicrin. And we look
15 forward to working with the Panel on the pesticides that
16 are going to come up again as candidate toxic air
17 contaminants.

18 And I guess our process is different from ARB and
19 OEHHA, where we do our risk assessments in our Department.
20 So we do the exposure assessments and the health
21 evaluation in DPR. Although, it's done by two different
22 sections in our Department.

23 So to talk about the toxic air contaminant
24 program in our department is Randy Segawa. He is the lead
25 of our air program and the Environmental Monitoring Branch

1 of DPR.

2 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

3 SEGAWA: Good afternoon. So since now it is good
4 afternoon, I will endeavor to get through these slides
5 quite quickly.

6 --o0o--

7 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

8 SEGAWA: This slide shows the legal requirements under the
9 toxic air contaminant for pesticides. And it actually
10 serves as the outline for the presentation.

11 The law requires the Air Resources Board to
12 monitor pesticides at DPR's request. Then, of course,
13 using that data and the health effects data, DPR prepares
14 a risk assessment that is prepared in consultation with
15 OEHHA, as well as ARB. And, of course, is reviewed by
16 this Panel.

17 You had mentioned before that under the
18 definition of a toxic air contaminant, it's somewhat
19 flexible or inclusive. But in terms of listing a
20 pesticide as a toxic air contaminant, we do produce a
21 quantitative risk assessment. So there is a regulation
22 which gives a quantitative standard for listing of
23 pesticide. And I'll talk about that as well.

24 And then finally, of course, once a pesticide is
25 listed as a toxic air contaminant, the law requires DPR to

1 mitigate those risks, again, in consultation with OEHHA,
2 Air Resources Board, and other agencies.

3 --o0o--

4 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

5 SEGAWA: So first thing that the law requires both DPR and
6 ARB to do is to monitor for pesticides in air. And in
7 general, there are two different types of studies that we
8 will conduct.

9 The first type is what we refer to as application
10 site monitoring. This is air monitoring within the
11 immediate vicinity of a pesticide application. Normally,
12 there are some eight to 24 sites surrounding a pesticide
13 application at various distances. And we collect a
14 sequence of samples, say anywhere from four to 24-hour
15 intervals for two to 10 days.

16 --o0o--

17 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

18 SEGAWA: The second type of air monitoring that we conduct
19 is what we refer to as ambient air monitoring. This is
20 regional monitoring in communities, where we select
21 usually four to six communities in a high use area,
22 collect samples for a 24-hour period, three or four days
23 per week for several weeks. This gives us data on some
24 longer term exposures.

25 PANEL MEMBER EISEN: I have a question on your

1 previous slide.

2 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

3 SEGAWA: Yes.

4 PANEL MEMBER EISEN: So it was 30 to 300 feet.
5 So you don't measure closer into the actual work that's
6 being done, the applicators?

7 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

8 SEGAWA: Generally, no. Primarily, for personnel safety,
9 we tend to be back at least 30 feet.

10 PANEL MEMBER EISEN: You mean for the monitoring
11 crew.

12 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

13 SEGAWA: Correct. Correct, yes.

14 PANEL MEMBER EISEN: So is there any monitoring
15 of the occupational exposures?

16 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

17 SEGAWA: Not under toxic air contaminants. Under the law,
18 we only look at what we refer to as bystander exposure.
19 That said, we do have another program that does look at
20 occupational exposures.

21 PANEL MEMBER EISEN: And that --

22 CHAIRPERSON FROINES: Do you actually do sampling
23 of workers in the field?

24 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

25 SEGAWA: Yes. We have a Worker Health and Safety Branch,

1 and that is a big part of what they do, yes.

2 CHAIRPERSON FROINES: So they do do sampling?

3 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

4 SEGAWA: Correct, yes. It's just that you often do not
5 see that data. Sometimes we will prepare, what we call, a
6 comprehensive risk assessment, which includes all the air
7 monitoring data, the occupational exposure, food residue
8 data, but you are only need to review the air monitoring
9 or the air exposure data.

10 CHAIRPERSON FROINES: Unless I'm way off, I think
11 the Panel would be interested in some of that data, that
12 occupational data. Am I -- Ellen, is that fair?

13 PANEL MEMBER EISEN: I think it's fair, yeah. I
14 mean, I don't know what I'm opening up here.

15 CHAIRPERSON FROINES: I don't either.

16 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

17 SEGAWA: Okay.

18 PANEL MEMBER HAMMOND: Well, I would point out.
19 I agree that we'd be interested. Among other things, we
20 sometimes have requested when we look at the health
21 effects, we've requested information on accidents and
22 incidents that have happened, and health effects. And so
23 interpreting those, one would want to know something about
24 what the exposures were.

25 And I think we kind of had those iterative

1 discussions sometimes. So maybe just starting with it in
2 the first place.

3 CHAIRPERSON FROINES: But also, there's another
4 piece of that. If they're doing occupational exposures on
5 workers, it would be interesting to know about the
6 effectiveness of these respirators, which is, as you know,
7 a hot topic for the committee that I chair.

8 --o0o--

9 CHAIRPERSON FROINES: Not this one.

10 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

11 SEGAWA: So moving on. Once the air monitoring is
12 completed, then DPR, in consultation with OEHHA and ARB,
13 does prepare a risk assessment, includes the normal pieces
14 you would see in a risk assessment.

15 The one thing I do want to point out is the last
16 item there. Under the law, OEHHA does help us prepare
17 this document and actually issues their own findings as a
18 separate document. So you will also receive that as part
19 of the package.

20 --o0o--

21 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

22 SEGAWA: I mentioned that we do have some specific
23 criteria for listing a pesticide as a toxic air
24 contaminant. And I'm not going to read this. You can
25 look through it. It's somewhat tricky legal language, but

1 basically it says that we add in an extra 10-fold
2 uncertainty factor. And that's the criteria we use to
3 base our listing on.

4 PANEL MEMBER HAMMOND: May I ask a question
5 there? That's an extra 10-fold from what?

6 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
7 SEGAWA: From what the normal criteria would be for
8 determining whether or not the exposure is acceptable.
9 For example, on the last bullet there for those that do
10 not have thresholds for cancer risk, for instance, if our
11 normal negligible risk standard is one in a million
12 cancers, we would list a pesticide if it causes more than
13 one in 10 million.

14 CHAIRPERSON FROINES: What?

15 PANEL MEMBER BLANC: Did you get that?

16 CHAIRPERSON FROINES: No.

17 PANEL MEMBER BLANC: So in other words, it would
18 be -- if I can extrapolate to what OEHHA does, which is a
19 different way of getting at whether something is listed.
20 For the DPR, they would go through a similar process.
21 They'd come out with a risk cutoff, which takes into
22 account a series of extrapolations in modeling. And then
23 there's a 10-fold above that -- below that if actual
24 ambient exposures occur, even anything above one-tenth of
25 what would be the cutoff for any toxic endpoint that

1 they're looking at, then it becomes listed.

2 So the difference between what they do, and what
3 OEHHA does is that OEHHA does a risk estimate, but
4 whatever that risk estimate is, it doesn't necessarily
5 prevent it from becoming listed as a toxic air
6 contaminant.

7 Whereas, if -- depending on how they do their
8 assessment, and then the airborne monitoring of what is
9 actually out there, something might be toxic. But if they
10 come up with such low levels of exposure, based on how
11 they measure it, then it doesn't get listed.

12 CHAIRPERSON FROINES: Well, can't --

13 PANEL MEMBER BLANC: And that's not something
14 that happens with the non-pesticides.

15 CHAIRPERSON FROINES: Kathy asked about what is
16 the 10-fold below. Now, if you do it by traditional means
17 you have you -- you said a NOAEL. And then you deal with
18 interspecies variability -- intra and interspecies
19 variability. And that assuming a safety factor of 10 for
20 each, that gives you a hundred fold before -- below you're
21 NOAEL. And are you saying that this other factor of 10 is
22 added to that?

23 PANEL MEMBER BLANC: Yes.

24 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

25 SEGAWA: That's correct.

1 CHAIRPERSON FROINES: So by definition, it would
2 be a thousand. And we know that there are, like other
3 issues like children and what have you, so that the number
4 would be a thousand-fold below the NOAEL.

5 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

6 SEGAWA: Correct.

7 PANEL MEMBER BLANC: But then they have to
8 actually show that they can detect it in the air at levels
9 that are at that or above that.

10 CHAIRPERSON FROINES: Randy, but you didn't
11 mention the margin of exposure.

12 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

13 SEGAWA: That's what this refers to.

14 DPR ASSISTANT DIRECTOR VERDER-CARLOS: That is
15 what this is.

16 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

17 SEGAWA: In Part A, that's what it's referring to is
18 margin of exposure.

19 CHAIRPERSON FROINES: Okay. The Panel may not
20 know what that means. That is -- you tell them.

21 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

22 SEGAWA: Yeah. So the margin of exposure is the ratio of
23 the reference concentration or the air concentration that
24 we deem acceptable in humans, and the air concentration
25 that is actually out there.

1 PANEL MEMBER GILL: Randy, how do you calculate
2 it, because most of the toxic effects on pesticides on a
3 milligram per kilogram basis on autodose, how do you
4 convert it to an inhalation exposure?

5 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
6 SEGAWA: Right. And so that's a key part of our risk
7 assessment is taking that oral data and trying to estimate
8 what the dosage is for inhalation. And, in many cases, we
9 do have inhalation data, but in some cases we do not.

10 CHAIRPERSON FROINES: So if you have a number
11 which is your NOAEL divided by a thousand, and so that's
12 your denominator, your numerator is exposure, right, or
13 have I got it upside down?

14 DPR ASSISTANT DIRECTOR VERDER-CARLOS: You've got
15 it upside down.

16 CHAIRPERSON FROINES: I've got it upside down.
17 Okay. So what constitutes significant risk with what MOE
18 would you --

19 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
20 SEGAWA: Where we're using animal data and we're using our
21 normal uncertainty factors, then again we're talking about
22 a thousand fold MOE.

23 CHAIRPERSON FROINES: Okay.

24 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Well,
25 let's see. So if the pesticide has an MOE of less than a

1 thousand, then it is a candidate for a TAC. So for any
2 pesticide that has an MOE of less than a thousand, then
3 it's a candidate for a TAC and we bring the -- and then
4 the Panel has to review the risk assessment for that. The
5 same thing with cancer. If the cancer estimate is 10 to
6 the minus 7 or less, then it's a candidate for a TAC.

7 PANEL MEMBER BLANC: And I just want to point
8 out, therefore that as opposed to the OEHHA risk
9 assessments, for the pesticide risk assessments the
10 quality of the exposure data becomes important, because it
11 drives this ultimate ratio.

12 So if they have measured ambient exposure in a
13 way that would systematically mis or underrepresent or
14 undercapture or underestimate what the ambient exposures
15 are, then it will drive this ratio in a direction to say
16 something is not a toxic air contaminant, when it should
17 be. Therefore, we end up focusing, in addition to the
18 human health side, on issues such as what dispersion
19 models did they use to get from levels that are at the
20 edge of the field to levels that are in a community.

21 And we've had a lot of discussions with them at
22 various times about that. And quite frequently, their
23 unit comes to us with a great deal of frustration in terms
24 of what their actual exposure data are, because frequently
25 it's based on very few measurements, sometimes with

1 measurements that have had substantive technical
2 compromise to them. Is that a fair statement?

3 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

4 SEGAWA: Somewhat, yes.

5 CHAIRPERSON FROINES: Ellen.

6 PANEL MEMBER EISEN: I guess I just don't
7 understand the concept of looking for a difference between
8 some threshold limit and an ambient level, when you worked
9 on it statewide. I mean, is that average for the state?

10 PANEL MEMBER BLANC: No. It would be based on --
11 often, it's based on dispersion modeling. And it doesn't
12 have to be the whole state. They just have to show there
13 would be communities where this would occur.

14 CHAIRPERSON FROINES: But we tend to emphasize --
15 since drift is a major issue, we tend to emphasize the
16 fence line measurements, rather than ambient.

17 Because here's the thing, Lyn Baker who does this for the
18 ARB once testified before us. And he said that they don't
19 know -- when they go out to monitor, they don't know
20 whether the pesticide in question is being used that day.

21 So they can go out and they can monitor and
22 there'll be no pesticide whatsoever. So that you have a
23 real dilemma, because what you should have is it's going
24 to be used today, and we're going to do a fence line and
25 that's going to give us a better exposure measure.

1 Does that makes sense?

2 PANEL MEMBER EISEN: Yeah, I mean -- and also --
3 I mean, why wouldn't it come up like as a hot spot rather
4 than as a general ambient --

5 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
6 SEGAWA: Only because the law treats them differently.

7 PANEL MEMBER BLANC: So that's the fundamental
8 difference, this issue. That being said, I don't recall
9 among the very limited number of pesticides that have come
10 to us from DPR, a case in which it ultimately was not a
11 toxic air contaminant because the ratio came out to be so
12 small -- so large.

13 CHAIRPERSON FROINES: Kathy.

14 PANEL MEMBER HAMMOND: Yeah, but I agree with
15 Paul, but I'm thinking the way I heard what you said,
16 Randy, and correct me if I'm wrong, is that the starting
17 point is that the air measurements have to be 10-fold --
18 within 10-fold -- greater than 10-fold less than -- they
19 have to meet these criteria. And so to be considered to
20 be a toxic air contaminant -- to be considered for
21 evaluation as a toxic air contaminant.

22 So in other words, if there's a pesticide out
23 there where the measured levels are less than 10-fold
24 under the thresholds as defined here, then you wouldn't
25 even start the TAC process, is that correct?

1 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

2 SEGAWA: Not necessarily. In general, we don't know if
3 the air concentrations would meet that threshold level
4 prior to the evaluation itself.

5 PANEL MEMBER HAMMOND: So you don't do
6 measurements until your -- except as part of the TAC
7 process?

8 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

9 SEGAWA: Correct.

10 CHAIRPERSON FROINES: One thing that the new
11 Panel members should know is that this -- the older SRP
12 had -- I guess you can say it that way -- had differences
13 with DPR over the concept of the MOE.

14 We took the position that a chemical can be a
15 toxic air contaminant and not meet the MOE standard. And
16 our position was pesticides are toxic, and so we need to
17 not necessarily tie ourselves to a -- to what turns out
18 sometimes to be questionable exposure assessment.

19 PANEL MEMBER HAMMOND: But John, isn't it true
20 that this -- if I understand what Randy is saying that
21 this is what's in the law, the regulation, this aspect of
22 that?

23 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

24 SEGAWA: Yes. It's a regulation implementing the law,
25 yes.

1 PANEL MEMBER HAMMOND: But I think John is
2 correct in some of the concerns that we have had with some
3 of that, it's that we have -- for the other toxic air
4 contaminants, we're talking about a qualitative aspect of,
5 you know, what -- is this material toxic or not. And then
6 if so, what level should we set as standards.

7 Whereas, in this case, there's a quantitative
8 part of its even being listed as a toxic air contaminant,
9 which is a separate and distinct criterion from what we
10 have for the things that are going through the Air
11 Resources Board.

12 CHAIRPERSON FROINES: She said it better than I
13 did.

14 (Laughter.)

15 PANEL MEMBER GLANTZ: The other important point
16 here is that this is part of their regulations. This is
17 not part of the law. And this has been hotly debated.
18 We're having a period of relative friendliness between
19 this Committee and the DPR, which is good.

20 CHAIRPERSON FROINES: Well, it's fair to say,
21 Stan, that DPR has brought us a number of significant
22 pesticides recently --

23 PANEL MEMBER GLANTZ: Yes.

24 CHAIRPERSON FROINES: -- and so we shouldn't --
25 we should knowledge that.

1 PANEL MEMBER GLANTZ: No, I'm saying it's good.
2 But this whole issue -- and I don't think we should get
3 bogged down on this, because I was just telling John we
4 should get bogged down, but I think this whole issue, the
5 point Kathy raised, and, you know, whether these
6 regulations are reasonable and all of that, is an -- it's
7 an issue which is one of ongoing discussion, I think. I
8 think because the DPR has been bringing important
9 pesticides to the Committee, it's receded a bit, but it is
10 important that this is a regulation, which is something
11 DPR decided not the law the Legislature wrote. This is
12 their interpretation of the law.

13 But I don't want to bog this down anymore at this
14 point, because it will come back a lot of times.

15 CHAIRPERSON FROINES: Well, we're going to also
16 have to look at the question of what we think is a
17 threshold. And it may be that thresholds may not be
18 appropriate.

19 --o0o--

20 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
21 SEGAWA: Okay. So once DPR drafts a risk assessment, it
22 does go through a review process as required by the law.
23 There is a public comment period, normally 45 days. And
24 normally DPR would hold a workshop with its Pesticide
25 Registration and Evaluation Committee.

1 Once we receive all those public comments, we do
2 provide written responses. And it was mentioned earlier,
3 we do see that as part of the draft report, both the
4 comments that we received and DPR's responses.

5 Then, of course, you conduct your own evaluation
6 and issue your findings.

7 --o0o--

8 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

9 SEGAWA: I guess another one that is just quoting from the
10 law, which is the specific legal requirements for this
11 panel. Again, you can look at this at your leisure, but
12 basically, you review all aspects of the risk assessment,
13 so the monitoring data, the exposure assessment and the
14 health effects data.

15 CHAIRPERSON FROINES: We have leads with DPR, as
16 well as the other agencies.

17 --o0o--

18 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

19 SEGAWA: Then assuming that a pesticide does meet the
20 criteria for listing, DPR formally proposes to list that
21 pesticide. And according to the law that must occur
22 within 10 working days of receiving the Panel findings.

23 Then there's a formal rule-making process. We
24 actually have to put forth a regulation to list a
25 pesticide as a toxic air contaminant, including the public

1 hearing. And so that's usually a several month period.

2 And then once it's listed, the listing does not
3 automatically trigger any regulatory actions. What it
4 does trigger is the need for further evaluation to see
5 what, if any, mitigation is needed.

6 --o0o--

7 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

8 SEGAWA: And then, of course, that takes us to our risk
9 management phase, which is conducted in consultation with
10 several other agencies, particularly OEHHA, Air Resources
11 Board, the air districts and county agricultural
12 Commissioners.

13 In many cases, DPR and ARB will do additional
14 monitoring and analysis of data to see if there are ways
15 to reduce the exposures, change application methods or
16 things like that.

17 And then there are a variety of options that DPR
18 has to reduce those exposures. Some of them are listed
19 there.

20 --o0o--

21 PANEL MEMBER HAMMOND: Excuse me, Randy. If I
22 remember, these things in red are SRP related?

23 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

24 SEGAWA: No.

25 PANEL MEMBER HAMMOND: And yet -- I think you

1 said.

2 PANEL MEMBER GLANTZ: No, those are law.

3 PANEL MEMBER HAMMOND: Oh, that's the law.

4 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

5 SEGAWA: That's the law.

6 PANEL MEMBER HAMMOND: All right. Thank you.

7 Because I was going to say, we don't do those. Good.

8 CHAIRPERSON FROINES: We can.

9 PANEL MEMBER HAMMOND: Well, I'm not sure we want
10 to.

11 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

12 SEGAWA: And then just to give you an update where we're
13 currently at.

14 --o0o--

15 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

16 SEGAWA: The Air Resources Board has three monitoring
17 studies currently in progress. DPR staff is currently
18 working on three risk assessments that will likely come to
19 you at some point.

20 PANEL MEMBER BLANC: What are those?

21 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

22 SEGAWA: Those are chlorothalonil, chlorpyrifos, and
23 diazinon.

24 PANEL MEMBER BLANC: And why isn't methyl iodide
25 one of those?

1 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

2 SEGAWA: It's a HAP, and so it's automatically going to
3 get listed.

4 PANEL MEMBER BLANC: Okay, great.

5 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

6 SEGAWA: We have listed 45 pesticides since the law was
7 passed, eight through the evaluation process that we've
8 just talked about. Then there are 37 that are hazardous
9 air pollutants. That is 37 hazardous air pollutants that
10 have pesticidal uses. And so we've listed them
11 administratively.

12 We're working on the listing of one, methyl
13 iodide, through the administrative process. And then we
14 have four pesticide mitigation --

15 CHAIRPERSON FROINES: Wait, wait, wait, wait,
16 wait. There are three of us on this Panel who are on the
17 other panel. And what do you mean by administrative
18 process?

19 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

20 SEGAWA: The law says that for a federal hazardous air
21 pollutant, that the Director must identify that chemical
22 as a toxic air contaminant. And we do that through a
23 formal rule-making process.

24 And so since methyl iodide was recently
25 registered and now is available for sale and use, we're

1 going through the process to list it as a toxic air
2 contaminant.

3 CHAIRPERSON FROINES: It's not automatically
4 grandfathered in?

5 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
6 SEGAWA: No. Yeah, we actually looked at that recently
7 when we were developing these presentations. And the
8 Health and Safety Code has slightly different language
9 than the Food and Ag code regarding pesticides.

10 Under the Health and Safety Code it does happen
11 automatically, but that's not as clear in the Food and Ag
12 Code. And so we do go through a formal rule-making
13 process to do it.

14 CHAIRPERSON FROINES: Comments?

15 PANEL MEMBER HAMMOND: Where are you in that
16 process now?

17 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
18 SEGAWA: We have that regulation package going through
19 internal review right now.

20 PANEL MEMBER HAMMOND: And then it will go
21 through the process of formal list -- public listings and
22 hearings?

23 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
24 SEGAWA: Correct, yes. And so we will notice for public
25 comment. By law that's a 45-day comment period. And then

1 we have to respond to those comments. We may or may not
2 do a second comment period, if we significantly change
3 that regulation, which in this case we would not, and then
4 it gets reviewed by the Office of Administrative Law.

5 CHAIRPERSON FROINES: But it doesn't --

6 PANEL MEMBER HAMMOND: And it's currently in use
7 now, you said? I know it's approved for use, but is it
8 actually in use, do you know?

9 CHAIRPERSON FROINES: But it doesn't come to the
10 SRP.

11 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

12 SEGAWA: No.

13 PANEL MEMBER HAMMOND: Do you know if it's in use
14 at all now?

15 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

16 SEGAWA: Not to my knowledge.

17 PANEL MEMBER HAMMOND: Does it get listed with --
18 it would have to be listed with you, if it were in use or
19 not?

20 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

21 SEGAWA: No.

22 PANEL MEMBER HAMMOND: Now that it's been
23 approved, you don't have to.

24 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST

25 SEGAWA: It has been approved for sale and use, yes.

1 CHAIRPERSON FROINES: This is such an irony. It
2 is list as a HAP, but it's considered safe enough that we
3 can use it.

4 DPR SENIOR ENVIRONMENTAL RESEARCH SCIENTIST
5 SEGAWA: And actually that's it.

6 PANEL MEMBER BLANC: Great.

7 CHAIRPERSON FROINES: I think Randy has been with
8 us for a long time, so he's been through the wars. And
9 MaryLou has been through enough of the wars to know what
10 they're about. So I welcome you for this new phase of the
11 SRP.

12 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Thank you.

13 CHAIRPERSON FROINES: Thank you.

14 PANEL MEMBER BLANC: So, John?

15 CHAIRPERSON FROINES: Yes

16 PANEL MEMBER BLANC: What time do you suggest we
17 reconvene after lunch?

18 CHAIRPERSON FROINES: What time is it now, I'm
19 sorry?

20 PANEL MEMBER BLANC: Quarter of one.

21 CHAIRPERSON FROINES: How about 1:30.

22 PANEL MEMBER BLANC: Okay. I move that we recess
23 for lunch till 1:30.

24 CHAIRPERSON FROINES: That would -- all in favor?

25 (Ayes.)

1 (Thereupon a lunch break was taken.)

2 AFTERNOON SESSION

3 CHAIRPERSON FROINES: Can we get started. We're
4 quite late.

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

6 CHIEF MARTY: The next item is an update to our technical
7 support document for cancer potency factors Appendix C,
8 which deals with the dioxin TEFs. The presentation --
9 Andy Salmon is going to give the presentation. Andy is
10 one of my section managers for the Air Toxics Hot Spots
11 and Risk Assessment Program.

12 CHAIRPERSON FROINES: Melanie, procedurally, if
13 we -- I don't know whether we will vote today, but we will
14 vote on this issue?

15 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

16 CHIEF MARTY: Yeah. The statute actually says that the
17 Panel will review the risk assessment guidance -- and that
18 would include, of course, any revisions -- and provide
19 OEHHA with suggestions and recommendations.

20 What we've done in the past is if you guys have
21 approved the report. Then OEHHA adopts it, but it's not
22 like a TAC identification. There's not a findings and a
23 letter that gets transmitted to ARB. It's just a you guys
24 say the document seems fine.

25 CHAIRPERSON FROINES: So it will -- our

1 responsibility is to vote when we feel that it would be
2 appropriate?

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
4 CHIEF MARTY: Exactly.

5 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
6 CHIEF SALMON: And the approval then is by the OEHHA
7 Director.

8 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
9 CHIEF MARTY: The adoption.

10 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
11 CHIEF SALMON: Sorry. The adoption is by the OEHHA
12 director. It doesn't have to go beyond OEHHA to become
13 part of the guidance documents.

14 CHAIRPERSON FROINES: Okay, Andy, you're on.
15 (Thereupon an overhead presentation was
16 Presented as follows.)

17 CHAIRPERSON FROINES: We are reconvening the SRP
18 meeting.

19 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
20 CHIEF SALMON: Okay. As Melanie has just explained, the
21 presentation that I'm giving you today is of a revision to
22 this particular aspect of the risk assessment guidelines,
23 which is an appendix to the technical support document for
24 cancer potency factors.

25 And I'll just, by way of explanation, say that

1 there is already a previous version of this appendix in
2 the technical support document, which includes the
3 previous version of this TEF table. And so the
4 methodology is already something which is in place that
5 we're not proposing anything new in the methodology, but
6 we did take the opportunity to update somewhat the
7 supporting documentation, in terms of citing the newer
8 literature which was called upon by the WHO authorities.
9 And I'll explain where all that comes from in a moment.

10 CHAIRPERSON FROINES: Were there many comments
11 from the outside?

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
13 CHIEF SALMON: There were a number of comments from the
14 outside. I will -- I have a presentation which deals with
15 those, which I will give at the end when you instruct me
16 to do so. But one of the, I think, difficulties we had is
17 that we haven't actually received any comments which say
18 the TEF 2005 version is either better or worse than the
19 '97.

20 The comments we received were mainly discussing
21 various aspects of the TEF methodology per se, which in
22 fact is probably worth saying, that the use of some form
23 of the TEF methodology has been in place since the SRP
24 endorsed the TAC document on dioxins in 1986. And that
25 was -- and then that recommendation was followed up by the

1 identification by the Air Resources Board.

2 So we've had the methodology in some form in
3 place in the TAC program since 1986. We then, in fact,
4 adopted -- somewhat less formally adopted the use of an
5 international consensus version of the table of values
6 sometime in the early nineties, and have been perhaps on a
7 rather approximate schedule updating it from time to time
8 as this international consensus has been updated.

9 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

10 CHIEF MARTY: Andy, you have a slide with all that.

11 PANEL MEMBER BLANC: Yeah, I think you should
12 just keep going.

13 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

14 CHIEF SALMON: Okay, sorry. I'm going to start by just a
15 brief summary of what the methodology is and what the
16 compounds are, just in case you're not familiar with that.

17 --o0o--

18 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

19 CHIEF SALMON: Dioxins are the popular name for the
20 chlorinated dibenzo-para-dioxins, which the best known
21 examples is 2,3,7,8-tetrachlorodibenzodioxin, otherwise
22 known as TCDD.

23 The dioxin like compounds are a group of related
24 chlorinated compounds, which fall into the chemical
25 classes of PCBs, the polychlorinated biphenyls, and

1 polychlorinated dibenzofurans.

2 The dioxins and DLCs are ubiquitous environmental
3 contaminants, principally derived from combustion sources.
4 Although, there are some -- historically, there are some
5 chemical manufacturing processes, which have created them
6 as well.

7 They are toxic with wide range of different
8 effects, including carcinogenicity, immunotoxicity,
9 reproductive and developmental toxicity and endocrine
10 toxicity.

11 CHAIRPERSON FROINES: I'm sorry, Andy, and I will
12 not raise a lot of questions in the afternoon, but I just
13 want to ask you, when you say ubiquitous environmental
14 contaminants, do you have a sense that there is
15 significant exposure in wherever that are a matter of
16 concern?

17 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
18 CHIEF SALMON: The background exposures to dioxins are
19 very much in the range of levels which we suspect may have
20 at least -- they either approach or exceed the level at
21 which you would consider those effects significant.

22 I do have to say that probably the largest single
23 source of exposure for humans is dietary, but all routes
24 are important. And although every effort has been made to
25 minimize their exposure, and the amount being produced is

1 now, I think, considerably less than it was a number of
2 years ago, nevertheless because of their extreme
3 environmental persistence, the actual exposures do remain
4 at potentially significant levels, yes.

5 And I'll also say in passing, and remind you and
6 say that since 1986 the dioxins have been a toxic air
7 contaminant. They're also identified as one of the first
8 five priority chemicals for SB 25.

9 --o0o--

10 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

11 CHIEF SALMON: The risk assessment for dioxin like
12 compounds has become somewhat complicated. The endpoint
13 that drives many risk assessments is the cancer endpoint.
14 But as I mentioned, there's a whole suite of different
15 toxic effects. And those other effects show a mechanistic
16 and quantitative relationship to the carcinogenic effect.
17 And it's believed that all of these groups of effects
18 relate to the process of a long-term and relatively
19 irreversible binding to the AH receptor, which you may
20 have heard this a receptor which, among other things,
21 controls induction of some cytochrome P450 enzymes, but it
22 also has important influences on molecular and cellular
23 processes.

24 Now, usually cancer risk assessments, based on a
25 potency value or slope of the dose response curve in

1 effect, which is calculated from tumor incidence in an
2 animal bioassay or an epidemiological study. And this is
3 detailed in the main part of the technical support
4 document of which this document here is an appendix.

5 --o0o--

6 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

7 CHIEF SALMON: But although we have studies of a few
8 individual dioxin like compounds and also of mixtures,
9 which imply that the whole group shows these similar
10 effects. Only a few have actually been studied
11 individually in sufficient detail to allow calculations of
12 single values for the potency.

13 But it's important that we have individual values
14 for the potency of each of the dioxin like compounds,
15 because they vary quite a bit. And real world exposures
16 are to mixtures of many of these compounds. And the
17 actual composition of the mixture varies quite a bit,
18 depending on the source and the extent to which the
19 material has been out in the environment being biodegraded
20 or otherwise weathered.

21 So the idea of the TEF procedure is a methodology
22 to estimate individual potencies from the limited amount
23 of congener-specific data that we have, and, plus, of
24 course, taking into account the studies of the few
25 specific compounds, which we do have toxicity

1 information -- quantitative toxicity information.

2 --o0o--

3 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

4 CHIEF SALMON: So the idea is that you -- the TEF approach
5 relates the potency of an individual congener. The word
6 congener, by the way, is a technical meaning one of the
7 closely related isomeric variants of the dioxin-like
8 structures.

9 The idea is that you have a factor which relates
10 the potency of the individual compound to that of a single
11 market compound, if you like, for which you do have a full
12 range of values. And that compound is TCDD.

13 And then essentially what you do is you multiply
14 the concentration of the individual component by its TEF,
15 or toxicity equivalence factor, and then you add up all
16 those products for all of the compounds for which you have
17 measurements. And that produces a total toxic
18 equivalence, or TEQ, which has the properties of being a
19 concentration.

20 It's the amount of dioxin which would supposedly
21 have the same amount of effect as the mixture which you're
22 examining. So that's the basic mathematical principle.

23 --o0o--

24 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

25 CHIEF SALMON: As I said, there are various forms of the

1 scheme have been in development since 1983. There's been
2 a general effort to develop an international consensus set
3 of values, which starting about 1990 was taken over by a
4 special committee of the World Health Organization who
5 produced their first report in 1993.

6 We have used the WHO tables most recently. The
7 first one, which was adopted by OEHHA, was, in fact, the
8 1997 version. Prior to that, we were using an earlier
9 version of the consensus TEF table, which had been
10 developed in the late eighties by NATO. But the proposal
11 here is to update the version published by WHO in 2005.

12 --o0o--

13 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

14 CHIEF SALMON: This new version includes a number of
15 specific statements of inclusion as regards to what the
16 type of compound to be included should be. And it is
17 required to show a structural relationship to the
18 polychlorinated dibenzodioxin, and dibenzofurans. It's
19 required to bind to the AH receptor.

20 It's designed to be -- it's required that it
21 elicit receptor mediated biochemical and toxic responses.
22 And it's required that it be persistent and accumulate in
23 the food chain. And this idea of biopersistence is
24 considered to be a key function of the actual mechanism.

25 Things which bind to the AH receptor, but then

1 are removed by metabolism and are not highly persistent,
2 in fact, don't produce the suite of dioxin-like toxic
3 effects, even though they bind in the short term to the AH
4 receptor.

5 CHAIRPERSON FROINES: Andy, you said earlier that
6 the binding to the AH receptor was reversible, if I
7 understood you correctly. And so how does that
8 reversibility come into play when you're looking at
9 potency of a carcinogen, as an example?

10 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
11 CHIEF SALMON: Well, maybe I should clarify what I may
12 have said about reversibility. I mean, I think any
13 binding process is at least, in theoretical chemical
14 terms, reversible. But actually one of the features of
15 binding of dioxin-like compounds to the AH receptor is
16 that it appears that that binding is somewhat difficult to
17 reverse, in comparison to things like the polycyclic
18 aromatic hydrocarbons, which show a somewhat freely
19 reversible binding.

20 So part of the uniqueness of this chemical group
21 is that they do bind very tightly. And that getting them
22 off the receptor, although probably not impossible, is
23 quite difficult.

24 PANEL MEMBER GILL: What's the affinity?

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: Well, the KMs are down in the picogram
2 range there.

3 PANEL MEMBER GILL: You mean KD?

4 PANEL MEMBER BUCKPITT: KD, yeah.

5 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

6 CHIEF SALMON: Yes. The binding is extremely tight. And
7 that's one of the reasons why we're concerned about the
8 admittedly fairly low background levels of dioxins,
9 because these effects start to come into play at
10 astonishingly low levels.

11 --o0o--

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

13 CHIEF SALMON: But I think the concept which, you know,
14 has been explored in various places. You know, there's
15 been a huge amount of discussion about that. But I think
16 in terms of the potency, the idea is that basically you
17 have a mechanistic impact, which is disturbing cell growth
18 control any time you have these dioxin-like compounds
19 bound to the receptor.

20 And with a half life of eight to 10 years in
21 people, they're around for a long time, even after a
22 single exposure. So even after a brief exposure, you have
23 a long-term perturbation of cell growth regulation.

24 And it's during that period of perturbation that
25 the carcinogenic initiation can occur. It's also during

1 that period that things like developmental toxicity, and
2 the other adverse impacts can occur.

3 PANEL MEMBER BUCKPITT: Can I ask a question on
4 the persistence. You said that that was -- and as I read
5 this document, that you were taking that into account.
6 Could you expand on that a little bit, to tell us what you
7 would consider a persistent chemical and which you would
8 consider not?

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
10 CHIEF SALMON: Well, I think -- I mean, obviously, there's
11 a scale of persistence at work. But something which, like
12 the dioxins, has a half life in humans of eight to 10
13 years, would clearly be considered persistent. It's also
14 a question of what would be considered a persistent
15 chemical in the general environment.

16 And these compounds have the ability to persist
17 in sediments and other relevant areas for many decades.

18 PANEL MEMBER BUCKPITT: I guess what I'm trying
19 to get to, those are the extremes.

20 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
21 CHIEF SALMON? Yes.

22 PANEL MEMBER BUCKPITT: But where is the middle
23 ground, and where do you say, well, this really is not
24 persistent.

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: I think that well the middle ground is
2 probably something which would have a half life of a few
3 weeks would be my guess. But, you know, as I say, there
4 are definite sort of shades of severity here.

5 But the key thing, as regards to the dioxins, is
6 that they're way down at the far end of the them, as you
7 know.

8 PANEL MEMBER BUCKPITT: Oh, yeah.

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

10 CHIEF SALMON: There's also the WHO committee listed a
11 series of different endpoints, which relate to AH receptor
12 bindings, which they would use as markers for the
13 effectiveness of the individual congeners.

14 And the idea is basically if you've got one of
15 these endpoints, and you've got a measurement on congener
16 X and on dioxin, then you can use those two measurements
17 to develop a candidate value for the TEF. And they have a
18 number of specific criteria, but the key thing is
19 obviously the endpoint has got to be about AH receptor
20 binding.

21 They only used studies for which they had some
22 sort of dose response information. And they did not use
23 studies unless they appeared in the peer reviewed
24 scientific literature. They normally only looked at
25 results which had statistical significance. Although,

1 they may have taken marginal findings into account at a
2 qualitative level. And they did include structure
3 activity considerations in the database.

4 --o0o--

5 PANEL MEMBER GLANTZ: My only including
6 statistically significant findings, isn't that going to
7 buy us the results?

8 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
9 CHIEF SALMON: Well, when you're looking at experimental
10 data, it's rather hard to make anything of something which
11 doesn't show, I mean, a reasonable -- in quantitative
12 terms, it's hard to use anything that doesn't have
13 reasonable statistical significance. But I think -- I
14 think your point is taken that the Panel specifically said
15 they looked at whatever the data were, and if they thought
16 there was some kind of a flicker there, then they would
17 certainly take that into consideration in their overall
18 deliberations, even if it didn't provide something that,
19 you know, gave them a good solid numerical result.

20 PANEL MEMBER GLANTZ: Right, but theoretically
21 what if the dioxins weren't having any effect? Then you
22 wouldn't find a statistically significant --

23 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
24 CHIEF SALMON: No. Well, if the endpoint was not -- you
25 know, was not one which the dioxin like compounds

1 affected, then it wouldn't be considered as part of the
2 basis for developing a TEF. This is only about endpoints
3 which are an indicator of that suite of toxicological
4 responses which is elicited by the dioxin like compounds.

5 I've listed out a number of the endpoints here.
6 These run the range of biochemical changes, such as
7 enzyme, induction, messenger RNA production, a variety of
8 toxicity endpoints, including developmental neurotoxicity,
9 immunotoxicity, and marker enzymes for tissue damage
10 particularly in the liver.

11 And also carcinogenicity including tumor
12 induction, promotion, and impacts on various measures of
13 cell growth regulation.

14 So these are among the endpoints used in
15 evaluating the TEFs. And this publication, Haws et al.
16 2006, essentially put together a database of candidate
17 endpoints and results, which were then used by the WHO
18 Expert Committee to finalize their recommendation of the
19 TEF table.

20 PANEL MEMBER BLANC: And just to clarify, if I
21 understand the point correctly, it's not that you're
22 trying to say that these criteria or this approach is
23 substantively different from what they did the last time
24 around. What they did was simply take the literature that
25 had emerged in the interval and assess it using very much

1 the same approach they'd used the first go round, isn't
2 that correct?

3 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

4 CHIEF SALMON: That's absolutely correct.

5 PANEL MEMBER BLANC: Okay, just to clarify.

6 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

7 CHIEF SALMON: They have done quite a lot of work
8 identifying new data improving the systematic approach to
9 all this.

10 PANEL MEMBER BLANC: And we'd previously reviewed
11 a document --

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

13 CHIEF SALMON: Yes.

14 PANEL MEMBER BLANC: -- which subsumed this. And
15 therefore by --

16 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

17 CHIEF SALMON: Well, you and your predecessors in title
18 have reviewed three or four such documents.

19 PANEL MEMBER BLANC: And therefore, in principle
20 all of this part is a given that we've already --

21 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

22 CHIEF SALMON: Yes. Yes.

23 PANEL MEMBER BLANC: And what we're going to get
24 to in the next slide is having --

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: Precisely.

2 PANEL MEMBER BLANC: -- updated the literature
3 that's analyzed by the same approach what differences have
4 emerged.

5 --o0o--

6 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

7 CHIEF SALMON: Precisely. So this is the --

8 CHAIRPERSON FROINES: Andy, can you use upstream,
9 for example, AH binding as a -- for regulatory purposes in
10 that sense?

11 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

12 CHIEF SALMON: There is a sense that that is basically
13 what we're doing here, that we're taking measures of AH
14 binding as a way of assessing the upstream input to the
15 appearance of the suite dioxin-like compound toxicities.
16 So, in effect, that's what we're doing. This is one of
17 the early poster children of the upstream approach in
18 fact.

19 --o0o--

20 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

21 CHIEF SALMON: So this is the table of the new values in
22 contrast to the old. There are two pages to this. So
23 this is the first half of the table. This half of the
24 table shows you the recommended values for the
25 polychlorinated dibenzodioxins and dibenzofurans.

1 And, in fact, there are relatively few changes in
2 this table. There are a couple which have gone up or down
3 by a factor of three. And there are a couple where in the
4 previous version of the table, they said we're not
5 going -- you know, one of the things they said in the
6 original version of the method was that these were really
7 not super precise. These were estimates and they decided
8 that the values they would quote would be order of
9 magnitude or half an order of magnitude. In the previous
10 version they decided that they would report the TEFs as,
11 you know, 1 or 0.5 or some decimal up or down from that.

12 Whereas, the latest version they spent some time
13 discussing the mathematical implications of that, and
14 decided that since it was essentially a logarithmic scale,
15 it made more sense to cite the intermediate potencies as
16 0.3 or whatever as opposed to 0.5. So there are a couple
17 here which are basically the same point, but they've moved
18 from 0.05 a to 0.03 or 0.5 to 0.3.

19 So that change there is a somewhat systematic
20 change from the last version. Although, as you can see,
21 not quantitatively a very huge one.

22 --o0o--

23 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
24 CHIEF SALMON: There's been quite a bit more change in the
25 values -- in the number of values for those shown on the

1 second half of the table, which are the values for the
2 dioxin-like PCBs. By and large the dioxin-like PCBs are
3 the ones which adopt a coplanar configuration. And these
4 evidently bind to the AH receptor in the same way as the
5 dioxins and PCDFs, and elicit the same kind of responses.

6 Non-coplanar PCBs, particularly where the ortho
7 positions are occupied so that the molecule has difficulty
8 in adopting a coplanar configuration by and large don't
9 produce the suite of dioxin-like toxicities. Although,
10 they do have other toxic effects which are characteristic
11 of that class of compound.

12 But the WHO committee first included the coplanar
13 PCBs in their table of TEFs, in fact in 1993. That then
14 we adopted this inclusion when we adopted the 97 version
15 of the table. And they have been reassessing these. And
16 they changed a number of the values. Although, by and
17 large, not by a substantial amount. So this is the
18 revised table.

19 PANEL MEMBER NAZAROFF: May I just ask a question
20 at this point, just to better understand the context. The
21 number of congeners for dioxins and furans is much larger
22 than the number that appear in the table. And so is the
23 inference -- is there any basis for inferring, one way or
24 the other, that those are compounds that are not -- that
25 have not been studied and not evaluated or is the default

1 zero?

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
3 CHIEF SALMON: As far as the dioxins and dibenzofurans are
4 concerned, basically what you see there is the more --
5 well, among the more heavily chlorinated species. You've
6 got the various geometrical possibilities. But the ones
7 with only 1, 2, or 3 chlorines are not included.

8 PANEL MEMBER NAZAROFF: But even among the 4, 5,
9 and so forth, chlorine molecules, there are many different
10 ways to configure the chlorines. And so the question I'm
11 trying to understand is from a health risk, exposure risk
12 management point view, is it safe to assume if a compound
13 is in the family, but doesn't appear on this list, that
14 its TEF is zero?

15 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
16 CHIEF SALMON: Yes. The assumption is that if it's not on
17 this list, its TEF is zero. And that's particularly true
18 for the PCBs --

19 PANEL MEMBER NAZAROFF: Yes.

20 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
21 CHIEF SALMON: -- where, of course, the vast majority --

22 PANEL MEMBER NAZAROFF: The planar
23 configurations --

24 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
25 CHIEF SALMON: Yeah, that vast majority are not on the TEF

1 list.

2 PANEL MEMBER NAZAROFF: Right.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

4 CHIEF MARTY: They have to be chlorinated at least in the
5 2, 3, 7, and 8 position to be included.

6 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

7 CHIEF SALMON: Yes. And they have to have at least four
8 chlorines.

9 PANEL MEMBER BUCKPITT: And isn't that partly do
10 to environmental persistence? Because if they're not
11 heavy chlorinated, they're going to get metabolized.

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

13 CHIEF SALMON: That is true. But the reason why they're
14 on table is mainly a question of how they interact with
15 the AH receptor. But it's also true, as you say, that the
16 less heavily chlorinated ones are much less persistent.

17 And it's also in that context worth pointing out
18 that although octachloro has a relatively low TEF, it's
19 actually a very important compound, because it is
20 extraordinarily persistent and winds up, you know, on a
21 mass basis forming quite a substantial proportion of
22 environmentally degraded mixtures, I mean, given the
23 relative persistence of the different congeners.

24 PANEL MEMBER BLANC: Okay.

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: So our proposal is that we adopt this
2 table. We've put together a supporting document, which
3 was -- which is an expansion of the previous supporting
4 document we had, mainly just to cover some additional
5 literature, which the WHO committee pinpointed in their
6 update. We haven't attempted in the supporting document
7 to write a textbook on the subject or reinvent the work
8 that the WHO committee does. We're basically citing WHO,
9 but we feel that it's good to have a little compendium of
10 data to orient people who are using the table.

11 PANEL MEMBER BLANC: Okay.

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

13 CHIEF SALMON: So that's the proposal.

14 --o0o--

15 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

16 CHIEF SALMON: I have some slides on the responses to
17 comments, which I can go through --

18 CHAIRPERSON FROINES: Please, please.

19 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

20 CHIEF SALMON: -- now or after your discussion. Which
21 would you prefer?

22 CHAIRPERSON FROINES: No, no, no. Now.

23 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

24 CHIEF SALMON: Now, okay.

25 We received comments from two interested parties.

1 One set of comments on behalf of the Chlorine Chemistry
2 Division of the American Chemistry Council. And one set
3 of comments on behalf of the General Electric Company.

4 The detailed responses to -- the detail of those
5 comments and our responses to them are in the written
6 package, which you have in, I think, the black folder.
7 But I'm just going to give you a brief summary here. The
8 comments are actually quite voluminous in their detail.

9 --o0o--

10 CHAIRPERSON FROINES: But you will cover what the
11 comments -- if they're voluminous comments, you'll cover
12 reasonably full --

13 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
14 CHIEF SALMON: I propose to tell you the areas of which
15 the comments are addressed, yes.

16 CHAIRPERSON FROINES: Okay.

17 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
18 CHIEF SALMON: And I will, at times, refer to the written
19 comments for the details, like exactly which papers we did
20 and didn't include in the revised version of the document
21 and things like that.

22 The first comment, which appeared in several
23 places in both sets of comments was basically that they
24 wanted us to include a lot more literature relating to the
25 reliability or otherwise of the TEF methodology.

1 And we basically wanted to emphasize that,
2 firstly, we are not asking for there to be any change in
3 the regulatory status of the use of the TEF methodology,
4 which is established. And so any comments relating to
5 that topic are not actually -- you know, don't have a
6 bearing on the proposal that we're putting before the
7 Panel.

8 But we felt that it was nevertheless useful to
9 include some of the additional literature that was cited
10 to fill out the supporting document that we provided. So
11 we have added quite a number of additional references in
12 response to these comments. There are also others which
13 we chose not to include, because we didn't think they
14 added to the discussion, but we have responded by
15 including additional references.

16 One in particular that I will point out is that
17 we do cite what was -- when we wrote the document, this
18 was a draft from the U.S. EPA, but has now been finalized.
19 They have an essentially similar proposal to update their
20 use of the TEF table to the 2005 version. And, in fact,
21 the final version of this just came out earlier this
22 month. The U.S. EPA is now using the 2005 version of this
23 table.

24 --o0o--

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: Another more specific comment says that we
2 should cite the paper by Haws et al. which was the
3 background paper laying out the database of endpoints and
4 some analysis which the WHO expert committee used.

5 Our response to that is we do actually cite this
6 paper, but we're not -- we didn't see the need to, in
7 effect, rework what the WHO committee had done in using
8 those data. The authority we are citing is the WHO
9 committee's deliberations. And the Haws et al. paper is
10 background to that. So, yes, we cited. But one
11 difference in particular is that Haws et al. presented a
12 statistical analysis of the distribution of TEF values for
13 different endpoints, things like that, which the expert
14 committee looked at that and said, yes, that's very
15 interesting. We take it into account. But in the final
16 analysis, we're going to use expert judgment to determine
17 what is the most appropriate value for the TEFs rather
18 than simply relying on a statistical analysis.

19 And our proposal is to endorse the decision of
20 the expert committee and use their values, which were, as
21 I say, chosen ultimately by expert judgment with input
22 from various types of information, including the
23 quantitative analysis by Haws, et al.

24 CHAIRPERSON FROINES: Did you make comparisons
25 between the expert committee and the Haws paper?

1 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

2 CHIEF SALMON: No. We haven't included that in our
3 document. That's something which the WHO committee deals
4 with. So, you know, I say we weren't thinking that it was
5 worth, you know, essentially reiterating the entire
6 spectrum of deliberations.

7 CHAIRPERSON FROINES: Okay.

8 --o0o--

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

10 CHIEF SALMON: Another comment was that the TEFs are based
11 on feeding studies and should be used only to assess risks
12 from dietary intake.

13 Firstly, that's not true. There's, as you saw
14 from the list of endpoints, it includes a whole range of
15 in vitro measures. Although, most of the in vivo studies
16 in animals are dietary. There are some fairly significant
17 technical obstacles to doing inhalation toxicology with
18 dioxin-like compounds, like having to demolish the
19 facility when you finish the experiment.

20 So it's true that many of the in vivo measures
21 are based on feeding studies. But in any case, the
22 methodology conceptually and practically is not limited to
23 merely the oral route. And its use for inhalation has
24 been repeatedly endorsed by yourselves and your
25 predecessors. So we continue to use it in that way.

1 --o0o--

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

3 CHIEF SALMON: Another series of comments saying that
4 they're assuming that there are no differences between
5 humans and rodents, and/or we've ignored the fact that the
6 sensitivity of humans and rodents differs considerably for
7 some endpoints.

8 While we note that there are, you know, a number
9 of species, specific variations in response, the overall
10 picture is that the relative sensitivity to the different
11 dioxin-like compounds follows the same pattern across
12 species. And within the kind of precision which the WHO
13 TEF table is claiming, that this is a reasonable approach.
14 And it's in line with general toxicological principles and
15 is, in fact, supported by the data that we have available.

16 --o0o--

17 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

18 CHIEF SALMON: Another question --

19 CHAIRPERSON FROINES: Is that true for the AH
20 binding?

21 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

22 CHIEF SALMON: By and large, yes. I mean, there are, as I
23 say, there are smaller variations and some of which is a
24 question of how precise are the experiments. But by and
25 large, the AH binding and the toxic endpoints do follow

1 the same patent, regardless of whether you're looking in
2 rats, mice, in vitro, cultures of various sorts, including
3 some human cells.

4 So there are variations, but the overall picture
5 has a sort of general medium, which is what the WHO
6 committee picked on as being the basis of the TEF table.

7 Another comment was on the assumption of
8 additivity. The TEF method assumes that the various
9 effects of the dioxin-like compounds in a mixture will be
10 additive, as far as low dose exposures are concerned. And
11 this is what we're counting on in our use of the method
12 for assessing environmental exposures. It's recognized
13 that when you get up to higher levels of exposure, and
14 particularly where you get into the range where you've got
15 substantial effects of enzyme induction, saturation, and
16 receptors and things likes that, then, you know, the
17 additivity does begin to break down. But it has been
18 shown that at the lower dose levels that we're interested
19 in, the additive assumption is valid.

20 And similarly, questions about the shape of the
21 dose response curve. Yes, there are some minor variations
22 in the shape of the dose response curve, but again at low
23 doses particularly, the dose response curve appears to be
24 sufficiently comparable to support the use of the method
25 for the purposes that we propose.

1 CHIEF SALMON: On the basis of limited human -- well, no,
2 sorry -- sufficient animals and insufficient but
3 indicative in humans. So the IARC decision obviously, as
4 they always do, takes a pretty stringent view of the human
5 studies and finds them interesting, but not convincing in
6 isolation, which is, I think, a fair summary.

7 But the overall picture was that they classified
8 it is as a probable -- Class 2B for IARC, which is --

9 CHAIRPERSON FROINES: Andy, I think -- unless I'm
10 just way off base and forgetting something, I think 2B is
11 possible human carcinogen.

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

13 CHIEF SALMON: Yeah. I think that the WHO listed there is
14 IPCS or somebody. That was quoted by van den Berg, I
15 think. But anyway, you know, you're -- the point you make
16 is correct, as far as the IARC 2B designation is possible.

17 CHAIRPERSON FROINES: But it seems like, given
18 the data that you're talking about, that I would have
19 guessed that IARC would have designated a 2A.

20 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

21 CHIEF SALMON: It's entirely possible that a revisiting of
22 the data would prompt such a decision. But, you know, I
23 think it's, at the very least, fair to call it a strong
24 2B. But in any event, a 2B is more than sufficient to
25 justify our proposal to develop risk estimates based on

1 possible carcinogenicity. And anything which is a 2B, a
2 2A or a 1, we would invariably use as a basis of a cancer
3 risk assessment for the program.

4 CHAIRPERSON FROINES: I think that's appropriate.
5 I think the problem is the one that Tomatis talked about
6 at great length, which is 2B is kind of a purgatory,
7 and -- but that's a general point and just drop it.

8 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
9 CHIEF SALMON: Yeah, I mean, I think the IARC risk
10 assessment guidelines are quite a complicated issue. And
11 I think that the preamble -- the latest version of the
12 preamble to the monographs is a very able and illuminating
13 commentary on that process, but it's its own thing.

14 Here, we don't have to do that. We have to
15 merely decide whether or not to use a non-threshold model
16 for risk assessment. And clearly, this meets the criteria
17 for doing that.

18 And that's all I have.

19 PANEL MEMBER BLANC: I think we have a question
20 over here.

21 PANEL MEMBER NAZAROFF: This is a general I think
22 as we're leading into the more general discussion. But
23 I'm trying to -- I think I know the answer, but it's not
24 completely clear, so I want to confirm. The inclusion of
25 PCBs in this TEF methodology is not, as I understand it,

1 something that's new with the proposal? That is, the
2 existing practice in the State includes the same PCBs that
3 will be included in the new treatment?

4 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
5 CHIEF SALMON: Yes, it does.

6 PANEL MEMBER NAZAROFF: So some of what seemed
7 very strong criticism by GE seemed to be tied to that
8 issue of a decision to include PCBs in the methodology at
9 all.

10 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
11 CHIEF SALMON: Well, if it implied that, it was incorrect.

12 PANEL MEMBER NAZAROFF: I'm not referring so much
13 to your response, but my reading of their questions.

14 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
15 CHIEF SALMON: Yes. Well, I have the impression that they
16 would much rather we hadn't included PCBs in the scheme.

17 PANEL MEMBER NAZAROFF: Yeah, but that decision
18 is an old one.

19 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
20 CHIEF SALMON: That decision was taken some number of
21 years ago.

22 PANEL MEMBER NAZAROFF: Right. I think what
23 confused me was the fact that you have in the draft
24 appendix a Table 1, which lists California TEF.

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: Yeah, those were the original 1996 ones.

2 PANEL MEMBER NAZAROFF: Yeah. Well, and till --
3 what wasn't transparent to me was what is current
4 California practice.

5 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
6 CHIEF SALMON: Yea, the current California practice is the
7 '97.

8 PANEL MEMBER NAZAROFF: WHO 97 numbers?

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
10 CHIEF SALMON: Yes, it is.

11 PANEL MEMBER NAZAROFF: So perhaps that should
12 just be made more clear in the presentation.

13 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
14 CHIEF SALMON: We could see if we can underline that
15 somewhere in the text.

16 PANEL MEMBER NAZAROFF: The one other point I
17 guess, as a comment, that I'd like to make has to do just
18 with maybe risk communication. And in some respects it's
19 a minor point, but I think it's an important one. In
20 Table 4 and 5 of this draft appendix, there is an
21 illustration of the its application for a couple of
22 different environmental samples, San Bernardino ambient
23 air, Marin County incinerator exhaust, I guess, and then a
24 striped bass.

25 And you've emphasized in the presentations here

1 and it shows in the summary that the TEF numbers are very
2 much order of magnitude or half order of magnitude
3 precision.

4 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
5 CHIEF SALMON: Yes.

6 PANEL MEMBER NAZAROFF: Yet down on the bottom
7 line there are total TEQ results reported with six or
8 seven significant figures, which, of course one gets, but
9 not by treating these as order of magnitude parameters.

10 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
11 CHIEF SALMON: Yes.

12 PANEL MEMBER NAZAROFF: And so I would just
13 suggest in terms of risk communication, you're not doing
14 yourself a good service by reporting numbers with what is
15 absurd levels of excessive precision.

16 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
17 CHIEF SALMON: Yea. I mean, I think possibly what we
18 might need to do is put the unrounded numbers in just to
19 show the extent of change with the new numbers.

20 So having bold -- the proper precision, which
21 would be like, you know, single significant --

22 PANEL MEMBER NAZAROFF: Yeah, or two significant
23 figures, I mean, might be justified, so you don't
24 propagate errors.

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: Yes.

2 PANEL MEMBER NAZAROFF: And keeping intermediate
3 results with some more precision is fine.

4 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
5 CHIEF SALMON: Yes.

6 PANEL MEMBER NAZAROFF: But if you're trying to
7 convey a message that these are order of magnitude, which
8 it seems as best the are --

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
10 CHIEF SALMON: I take your point, that we can --

11 PANEL MEMBER NAZAROFF: -- then don't --

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
13 CHIEF SALMON: We'll put in a bottom line in bold with the
14 properly rounded numbers.

15 PANEL MEMBER NAZAROFF: And in that case what one
16 sees is that there's really not a difference in a
17 magnitude sense at all. And even at kind of --

18 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
19 CHIEF SALMON: Even down in the weeds, it's not much.

20 PANEL MEMBER NAZAROFF: Right.

21 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
22 CHIEF SALMON: Yes, I take that point.

23 CHAIRPERSON FROINES: Paul. We're going around
24 the room now.

25 PANEL MEMBER BLANC: Yeah. No, I would say that

1 with those two minor corrections, I find this completely
2 acceptable. And I agree with the position of OEHHA that,
3 in fact, the proposal of this revision is not a proposal
4 to reconsider the fundamentals of the document. It is
5 appropriate to be consistent and to update the document
6 with the latest WHO findings, to the extent that they
7 differ. And I do not see any need to go beyond that.

8 So I think your approach is appropriate and
9 consistent. And certainly, I would anticipate that if in
10 2015 the WHO revises their document again, you would come
11 back with further modest revisions consistent with that.

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
13 CHIEF SALMON: Absolutely. I should have emphasized that,
14 you know, the WHO committee is now an ongoing activity,
15 you know, under -- I think it's under direction of Dr. van
16 den Berg, that is, you know, constantly working on
17 updates, incorporating new data as it comes out, and is
18 expected to produce a revision in due course.

19 One of the reasons why we structured this as an
20 appendix to the technical support document is so we can
21 pull it out and revise it on a regular basis as that
22 becomes appropriate.

23 PANEL MEMBER GLANTZ: When I read it, my sense is
24 that it really wasn't changing things very much.

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: That's correct. The changes are not huge.

2 PANEL MEMBER GLANTZ: If anything, it's reducing
3 the risk estimate a little bit, isn't it?

4 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

5 CHIEF SALMON: That is true.

6 CHAIRPERSON FROINES: We'll get to you.

7 PANEL MEMBER GLANTZ: Well, then what's the fuss?

8 PANEL MEMBER BLANC: We never said there was a
9 fuss.

10 PANEL MEMBER GLANTZ: Oh, okay.

11 PANEL MEMBER ARAUJO: But they're making a fuss.

12 PANEL MEMBER GLANTZ: Well, GE was making a fuss.

13 PANEL MEMBER GILL: Just as a point of
14 clarification, what is PCB 126 out of all the list,
15 because the names are different?

16 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

17 CHIEF SALMON: Can you --

18 PANEL MEMBER GILL: Which is 126?

19 PANEL MEMBER BLANC: It's seems to be the
20 benchmark, prototype.

21 PANEL MEMBER GILL: Yeah, which is a prototype in
22 your list of TEFs.

23 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

24 CHIEF SALMON: Have you got the list?

25 PANEL MEMBER NAZAROFF: 3, 3 prime, 4, 4 prime, 5

1 penta. It shows up in Table 1.

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

3 CHIEF SALMON: Yeah, here we go. Yes, I knew we had this
4 in the table somewhere. That's right.

5 CHAIRPERSON FROINES: Paul.

6 PANEL MEMBER BLANC: But just in response to
7 Stan's comment about, you know, what's the big deal? I
8 think it's important that when we conclude this discussion
9 five minutes from now, that we make sure that our findings
10 are clear that we not only approve of what's done, but
11 that we don't see any need to revisit the core document,
12 because I think the nature of the critiques is why didn't
13 you go back and revisit the core document.

14 CHAIRPERSON FROINES: We won't do findings.

15 PANEL MEMBER BLANC: Not findings. When we have
16 a motion to approve this document, I'm sorry, that we make
17 that clear as part of the motion.

18 CHAIRPERSON FROINES: Okay, Sarjeet.

19 PANEL MEMBER GILL: I have only one comment
20 actually. My one comment is the public critics that were
21 put forward actually included a number of reviews, which
22 actually enhance the quality of the document at the end.
23 But I come back to the core assumption that has been made
24 basically has not changed. And I agree with that actually
25 that the core assumptions have not changed.

1 So I do not see -- I agree with you that there's
2 no need for actually revisiting an issue which has already
3 been decided, because a key point is, is there new
4 information that substantially changes this?

5 After reading all of the information, including
6 the one that came out today, actually I don't see a reason
7 for revisiting the issue. I agree with you.

8 CHAIRPERSON FROINES: Jesús.

9 PANEL MEMBER ARAUJO: The one problem that I may
10 have is with your comment Number 4, which is in relation
11 to the differences in the order of magnitude, that they're
12 making a big deal about this. And they're actually
13 attaching a paper, and the paper is from 2010.

14 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
15 CHIEF SALMON: This is the comments which were received
16 just a few days ago? I have to --

17 PANEL MEMBER ARAUJO: Yeah, but the issue is that
18 if they are -- pretty much like questioning whether the
19 standards and the methodology proposed by the WHO 2005
20 should be used in this case, and whether using mouse data
21 to extrapolate to human data is valid. And they are
22 coming with a paper that is applicable. It's a very, very
23 important paper from that data.

24 So rather than just saying, what I would want to
25 hear is that they're saying that they -- they all say it

1 doesn't agree with these assertions, but it indicates they
2 relate to possible values of the TCDD potency. If you go
3 and review the paper, some of the numbers are actually
4 quite significantly different. And on the PCB, 126 is
5 more than an order of magnitude. It's like up to 23 or 34
6 difference.

7 So if we're going to dismiss this, we should have
8 like a really good conversation or explanation of why we
9 feel that this data doesn't really support their claim.

10 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
11 CHIEF SALMON: Two points I'd like to make. Firstly, the
12 comment to which you refer, it was not a comment that was
13 received during the formal comment period. We didn't --
14 you know, that comment was a letter to the Panel.

15 Our comments -- you know, we don't comment on
16 those. We comment on the materials received during the
17 formal comment period.

18 The other thing is that the W -- if you look at
19 the distribution of values for different endpoints, which
20 is given in the Haws et al. paper you will see that in a
21 number of individual endpoints, the distribution is quite
22 wide. It's, you know, an order of magnitude in either
23 direction.

24 And one of the challenges which the WHO panel
25 faced was, you know, integrating all these different

1 inputs, which did in fact, in a number of cases, include
2 quite a wide spread of different estimates.

3 And although -- you know, I mean, one could say,
4 you know, this one set -- one particular data set might
5 move this number in one direction or another, or you know
6 maybe some slightly different approach should be used.
7 The fact of the matter is that, you know, we are
8 deliberately deferring to the international consensus.
9 And if and when this paper -- the new data including this
10 paper in 2010, which I am absolutely confident will be
11 among the materials currently being assessed by the WHO
12 committee. You know, if and when they come to the next
13 iteration, they will presumably take that into account
14 along with all the other new data that they've received,
15 and produce a recommendation which includes that.

16 PANEL MEMBER ARAUJO: So what you're saying is
17 that the WHO -- that this controversy was already existing
18 out there.

19 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
20 CHIEF SALMON: It is an ongoing controversy. Yes, I mean,
21 one of the reasons why Haws et al. came out with their
22 systematic exploration of the spread of values was, you
23 know, basically to give the Committee the ammunition to
24 evaluate, you know, the previous version of this
25 controversy among others.

1 CHAIRPERSON FROINES: If I can comment, I think
2 that what you've just been saying to Jesús should be part
3 of your document, so that the ambiguity is clear or is
4 made -- the ambiguity is recognized and your response is
5 made clear. So I think what you've just said to Jesús
6 probably is a reasonable response.

7 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
8 CHIEF SALMON: Well, I'll --

9 CHAIRPERSON FROINES: Is that --

10 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
11 CHIEF SALMON: I'll --

12 CHAIRPERSON FROINES: I mean, it's a question for
13 him really.

14 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
15 CHIEF SALMON: I can do that if you think that.

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
17 CHIEF MARTY: We'll do it. We will add additional
18 information to indicate that.

19 PANEL MEMBER ARAUJO: I think that it's good just
20 to make it more complete, right, that we're not dismissing
21 it. Even though this piece of data was addressed to us,
22 it was not shown or included and for you before. I mean,
23 the comment on the paper that we're still including it and
24 considering it.

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: We can include a reference to that, as an
2 example of the problems facing --

3 PANEL MEMBER ARAUJO: And the second point
4 that -- what you want to have is perhaps when we're -- for
5 educational or illustration is that they make a point that
6 they're saying that they -- about the additive versus
7 the -- agonistic versus antagonistic effects of the
8 different doses.

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

10 CHIEF SALMON: Yes.

11 PANEL MEMBER ARAUJO: And that you believe that
12 what is important is that at the low doses there are
13 additive effects, that the issue about the antagonistic
14 effects happens is at a higher dose is that it's not
15 really of that much importance, why do you feel that.

16 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

17 CHIEF SALMON: Yeah. I think we explore that in the
18 document to a significant extent.

19 PANEL MEMBER ARAUJO: But could you -- that's
20 what I'm saying if you could just --

21 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

22 CHIEF SALMON: As I say, we already treat that at some
23 length in the version our document that you have there.

24 PANEL MEMBER GILL: I think that's discussed
25 already.

1 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

2 CHIEF SALMON: And it's also in the response to comments.

3 PANEL MEMBER BLANC: Kathy.

4 PANEL MEMBER HAMMOND: No particular comments. I
5 mean, I think this is fine. I think this is good. And I
6 think it's good to update it. And I like the idea of
7 regularly updating it as WHO updates it just to have that
8 in the plan to do that.

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

10 CHIEF SALMON: Yeah, that's definitely our intention.

11 PANEL MEMBER BLANC: Stan.

12 PANEL MEMBER GLANTZ: No, I got my question
13 answered.

14 PANEL MEMBER BLANC: Ellen.

15 PANEL MEMBER EISEN: No additional comments.

16 PANEL MEMBER BLANC: Alan.

17 PANEL MEMBER BUCKPITT: Just to say I thought it
18 was well done. It made sense.

19 PANEL MEMBER BLANC: John, do you have any?

20 CHAIRPERSON FROINES: Yeah. I was trying to
21 resolve an issue that I feel pretty strongly about. And I
22 don't think I said anything about it in my presentation.

23 In the past, going way back, the Panel took the
24 position that comments that came in should go to the
25 agencies, and the agencies would then write their

1 responses. And that's what we prefer.

2 Jim tells me that the public has a right to
3 submit directly to us. Is the Panel able to set a time
4 limit on that?

5 PANEL LIAISON BEHRMANN: The time is -- the
6 public is allowed to submit comments up to and at this
7 meeting. Now, obviously comments received at the meeting
8 can't be given as much weight or consideration by the
9 Panel, because you've not had time to review them.

10 CHAIRPERSON FROINES: I don't think the Panel
11 should read anything that comes to this meeting.

12 PANEL LIAISON BEHRMANN: I don't think that's
13 within your discretion. The law is very clear that the
14 public may submit comments up to and at the meeting.

15 Now, as a practice and as policy, our notice is
16 very clear. It says the Panel requests that materials be
17 submitted at least two weeks before the meeting in order
18 for the Panel to fully consider it.

19 CHAIRPERSON FROINES: Does it I say to the --

20 PANEL LIAISON BEHRMANN: Two weeks.

21 CHAIRPERSON FROINES: -- to the agencies?

22 PANEL LIAISON BEHRMANN: No. No, it's comments
23 submitted to the Panel. Remember that the agencies have
24 already gone through their public comment period and
25 received comments and considered them as part of their

1 proposal, and responded to them. That's what you're
2 considering.

3 CHAIRPERSON FROINES: So we're talking only about
4 comments that go to the Panel?

5 PANEL LIAISON BEHRMANN: Come directly to the
6 Panel. Now, the Panel -- as a practice, the Panel does
7 ask that the agencies, to the extent that they can in the
8 time that they have, also respond to these comments that
9 come in relatively late.

10 CHAIRPERSON FROINES: Say that again, I'm sorry.

11 PANEL LIAISON BEHRMANN: As a practice, the Panel
12 has directed me, when a comment comes in -- for example,
13 from General Electric, they sent a letter in on January
14 the 14th. I sent that immediately directly to you and
15 also to OEHHA, but OEHHA is under no legal -- they're not
16 legally required to respond to that, except to the extent
17 that they can within the time that they have. It's the
18 same as the Panel's consideration.

19 CHAIRPERSON FROINES: Well --

20 PANEL MEMBER GLANTZ: Can we have that procedural
21 discussion after we act on this.

22 PANEL MEMBER BLANC: And I'd just like the record
23 to reflect that I think that Jesús brought up the
24 substantive content of this late arriving material and the
25 record will show that we did, in fact, address it. And

1 that Andy, on behalf of OEHHA, came up with an acceptable
2 response to that.

3 CHAIRPERSON FROINES: Okay. Let's continue and
4 then we'll come back to this. It shouldn't take just a
5 couple minutes to resolve this.

6 PANEL MEMBER BLANC: So I'd like to make a
7 motion, if I might.

8 CHAIRPERSON FROINES: Okay.

9 PANEL MEMBER BLANC: I'd like to move as follows:

10 The SRP approves the recommended revision to
11 Appendix C of the technical support document for cancer
12 potency factors, taking into account the minor wording
13 changes to which OEHHA has committed itself, as per the
14 record, and looking forward to further periodic revisions
15 as any substantive body of new information emerges, for
16 example, a new WHO review.

17 CHAIRPERSON FROINES: Second?

18 PANEL MEMBER BUCKPITT: Second.

19 CHAIRPERSON FROINES: Any discussion?

20 All in favor?

21 (Ayes.)

22 CHAIRPERSON FROINES: Any nays?

23 It has passed unanimously.

24 Now, what I would propose would be, Jim, that we
25 add to our information for the public that the Panel will

1 receive comments, but the Panel would appreciate receiving
2 the comments two weeks -- at a minimum, two weeks prior to
3 the meeting.

4 Now, if two weeks is not acceptable to the Panel,
5 then we can change that.

6 PANEL LIAISON BEHRMANN: That's right.

7 CHAIRPERSON FROINES: Is two weeks okay?

8 PANEL LIAISON BEHRMANN: That's our current
9 practice. You're reiterating our current practice.

10 CHAIRPERSON FROINES: Okay. I'm just -- because
11 Jesús brought this up basically, and I think that --

12 PANEL MEMBER GLANTZ: No, that's what I says. If
13 you read the back of the agenda. "The Panel welcomes
14 written comments or submissions from all parties regarding
15 the report, but does not accept oral comments from the
16 public at meetings. Although written comments are
17 accepted up until the day of the scheduled meeting, to
18 assure adequate review, the Panel requests written
19 comments and information be submitted to the Panel liaison
20 preferably no later than two weeks prior to a scheduled
21 meeting.

22 CHAIRPERSON FROINES: Okay.

23 PANEL MEMBER GILL: I see no need for any change.

24 PANEL MEMBER GLANTZ: I think that's fine.

25 CHAIRPERSON FROINES: Okay.

1 PANEL MEMBER BLANC: Okay.

2 CHAIRPERSON FROINES: I did not have that in
3 front of me, and so I was following up on Jesús' comments.

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

5 CHIEF MARTY: Okay. The next item that OEHHA is bringing
6 to the Panel is a set of reference exposure levels for the
7 chemical caprolactam, which is identified as a TAC because
8 it was a HAP when the original HAP was adopted.

9 So to my left is Dr. Bob Blaisdell another one of
10 the section managers in my group. And to his left is Dr.
11 Daryn Dodge who is doing to give the presentation of the
12 development of our reference exposure levels, which
13 followed the new methodology the Panel approved and OEHHA
14 adopted in 2008.

15 (Thereupon an overhead presentation was
16 Presented as follows.)

17 PANEL MEMBER BLANC: Can you just, Melanie, give
18 us a sense of how long you anticipate the presentation and
19 then how long you would like for discussion.

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

21 CHIEF MARTY: I think we could probably get through the
22 presentation in 20 minutes or so, as soon as we can get
23 this computer to -- the computer is jammed. Hang on just
24 a second. So 20 to 25 minutes for the presentation and
25 then as much discussion as the Panel takes.

1 CHAIRPERSON FROINES: Peter?

2 PANEL MEMBER GLANTZ: Doesn't page down work?

3 CHAIRPERSON FROINES: Sarjeet?

4 PANEL MEMBER GILL: If we have a flight that
5 leaves at 5:50 around, so we need to --

6 PANEL MEMBER BLANC: You'd like to adjourn by
7 4:00.

8 PANEL MEMBER GILL: Yeah, by 4:00

9 PANEL MEMBER BLANC: Yeah, I think that's
10 reasonable.

11 CHAIRPERSON FROINES: I think that's possible.

12 PANEL MEMBER GLANTZ: What is the problem?

13 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

14 CHIEF MARTY: I don't know.

15 PANEL MEMBER GLANTZ: Why don't you --

16 CHAIRPERSON FROINES: Peter, do you -- I'll give
17 the Panel, but do they have the --

18 PANEL MEMBER GLANTZ: Close everything that
19 you're not using.

20 CHAIRPERSON FROINES: No, stop. Kathy just said
21 she did not have the presentation.

22 PANEL MEMBER HAMMOND: Do we have copies?

23 MR. MATHEWS: I'm bringing them.

24 CHAIRPERSON FROINES: Okay, two minutes.

25 PANEL MEMBER BLANC: If you pass out the printed

1 copies, he can start presenting it based on the printed
2 copies.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
4 CHIEF MARTY: Exactly.

5 PANEL MEMBER BLANC: While you're doing that,
6 Melanie, can you just -- unless it's in the slides, just
7 orient us to why this is coming up now.

8 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
9 CHIEF MARTY: Sure. We were actually asked by the Air
10 Board to develop a reference exposure level for
11 caprolactam. So we have been working on it for some time.
12 And it went out for public review, and we responded to the
13 comments, and so we're bringing it to the Panel.

14 CHAIRPERSON FROINES: Melanie, has Lyn Baker done
15 any field sampling for this compound?

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
17 CHIEF MARTY: No. This is actually -- Lyn does mostly the
18 pesticides and their --

19 CHAIRPERSON FROINES: Well, whoever does their
20 sampling for point source sources.

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: As far as I know, no one has done any source
23 sampling for caprolactam. It would fall under the -- it
24 falls under the Air Toxics Hot Spots Program, because it's
25 one of the chemicals listed as required to be -- to have

1 an emissions inventory if it's emitted. So that's -- you
2 know, there hasn't been source sampling for caprolactam.

3 PANEL MEMBER GLANTZ: Let's hear the
4 presentation.

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
6 CHIEF MARTY: Okay. You know what, since the slide show
7 mechanism doesn't seem to be working, we're just going to
8 do it just like this. You can see the slides.

9 PANEL MEMBER BLANC: Fine. Fine. We've got
10 printouts.

11 PANEL MEMBER GLANTZ: Okay, just --

12 OEHHA STAFF TOXICOLOGIST DODGE: Thank you,
13 Melanie.

14 PANEL MEMBER GLANTZ: Peter, just okay.
15 Let's go.

16 OEHHA STAFF TOXICOLOGIST DODGE: Again, I'm Daryn
17 Dodge, Staff Toxicologist. I was assigned the task of
18 developing RELs for caprolactam.

19 --o0o--

20 OEHHA STAFF TOXICOLOGIST DODGE: This is the
21 chemical structure of caprolactam. It's a semi-volatile
22 chemical with a saturated vapor concentration of 13
23 milligrams per cubic meter. It's a monomer used in
24 industrial polymerization process to form fibers called
25 Nylon 6. Nylon 6 is primarily used in carpeting.

1 The reaction generally isn't 100 percent
2 efficient, so you have caprolactam monomer in new carpets.
3 And they can measure levels of the monomer caprolactam off
4 gassing or released from new carpets.

5 Other sources. It could be potentially emitted
6 from facilities that manufacture, use, or recycle Nylon 6.

7 --o0o--

8 OEHHA STAFF TOXICOLOGIST DODGE: I'm going to go
9 into a brief run down of the steps for reference exposure
10 levels or whatever a reference exposure level is.

11 CHAIRPERSON FROINES: May I ask you a question?

12 OEHHA STAFF TOXICOLOGIST DODGE: Yeah.

13 CHAIRPERSON FROINES: The references that are in
14 the document, did they derive primarily from academic
15 research in universities or were they --

16 PANEL MEMBER BLANC: John, can I ask you to defer
17 that question, because I have a lot of critiques of this
18 document, and I'd like to hear the document and then come
19 back. I view that as primarily our critique. I think
20 it's obvious that they don't once you look at the
21 document, so let's hear --

22 CHAIRPERSON FROINES: Who doesn't want to look at
23 the document?

24 PANEL MEMBER GLANTZ: No, let's just let them do
25 their presentation.

1 CHAIRPERSON FROINES: Hey, hey, hey guys. The
2 two of you have continuously tried to hurry this process
3 up, and I'm tired of the impatience. Cool it. I'm going
4 to ask a question. I Chair this committee.

5 Were the papers that you reviewed in this
6 document primarily from industry or from other sources,
7 period?

8 OEHHA STAFF TOXICOLOGIST DODGE: The chronic
9 study in rodents was essentially an industry study. The
10 acute study that we have a comparison REL for was done by
11 a -- let's see probably a university or a facility in
12 Denmark or Germany, I forget which, by Dr. Triebig, who is
13 a pretty big name in chemosensory studies.

14 CHAIRPERSON FROINES: Thank you.

15 OEHHA STAFF TOXICOLOGIST DODGE: Okay. Reference
16 exposure levels. These are concentrations in air at or
17 below which no adverse health effects are anticipated
18 following exposure for specified periods. Now, we
19 developed three RELs an acute 1 hour, an 8 hour and a
20 chronic. And those are the specified periods. These are
21 non-cancer reference exposure levels. So it assumes that
22 there's a threshold for effects.

23 They are meant to protect most people, including
24 sensitive individuals. In the case of caprolactam, since
25 it's a sensory irritant, we're talking basically about

1 asthmatics or children with asthma.

2 Exceeding the REL does not necessarily result in
3 an adverse health consequence. But as you go up in
4 concentration above the REL, you can expect to see some of
5 these effects.

6 --o0o--

7 OEHHA STAFF TOXICOLOGIST DODGE: The steps in a
8 REL development. The first thing you do is go through a
9 literature search, collect all the studies, human, animal
10 in exposure toxicology studies, and identify the critical
11 endpoints. And so you're going to develop a REL for each
12 critical endpoint.

13 From these studies, you identify a point of
14 departure and that can be found in a few different ways.
15 It's shown here as on NOAEL, which is a No Observed
16 Adverse Effect Level, a LOAEL, Lowest Observed Adverse
17 Effect Level. And you actually prefer to have a study
18 that has both of those, or you can use the benchmark
19 concentration approach, which we'll talk about a little
20 bit, because we use that approach in developing one of the
21 RELs.

22 --o0o--

23 OEHHA STAFF TOXICOLOGIST DODGE: Following the
24 identification of a point of departure, or POD, you apply
25 necessary time or dosimetric adjustments and uncertainty

1 factors.

2 So here is what the equation looks like. Point
3 of departure times the adjusted dose, times the adjusted
4 time, divided by uncertainty factors.

5 Now, for inhalation exposure, the point of
6 departure will be an airborne concentration, usually
7 expressed in units of part per million. It should say
8 part per billion too or micrograms per cubic meter or
9 milligrams per cubic meter.

10 --o0o--

11 OEHHA STAFF TOXICOLOGIST DODGE: Expand on the
12 benchmark dose concentration. Actually, the benchmark
13 dose can be expressed as a dose or concentration.
14 Usually, when it says concentration referring to airborne
15 levels and a dose is oral exposure, but we interchange the
16 two continually.

17 The benchmark dose is a dose or concentration
18 that causes a specific level of effect. Often, we're
19 looking at the five percent response rate. It's derived
20 from a curve fitting of the dose response data. I'll have
21 an example of that in a little bit.

22 It incorporates slope, dose response curve, and
23 sample size information. These are all advantages over
24 using a NOAEL/LOAEL approach. And unlike the NOAEL,
25 benchmark dose is not directly dependent on the choice of

1 exposure level by the investigator.

2 PANEL MEMBER GLANTZ: Just one point of
3 clarification. When you say a five percent response, what
4 does that mean, five percent of what?

5 OEHHA STAFF TOXICOLOGIST DODGE: Five percent of
6 the effect. If you're looking for, you know, a certain
7 number of -- numbers of animals affected over a total
8 number of animals, it's the five percent response rate
9 where you have five percent of the animals responding to
10 some sort of injury or lesion.

11 PANEL MEMBER BUCKPITT: So it's a population
12 response?

13 OEHHA STAFF TOXICOLOGIST DODGE: Right. Right.

14 PANEL MEMBER GLANTZ: But then is it DEF? Is
15 it -- I mean, how -- what are you -- what are you calling
16 it? What's your definition then of a positive response in
17 this case?

18 OEHHA STAFF TOXICOLOGIST DODGE: In this
19 particular case, I'll get to it in a moment, but then
20 it's --

21 PANEL MEMBER GLANTZ: Well, then just keep going
22 if you're going to get to it.

23 OEHHA STAFF TOXICOLOGIST DODGE: Okay. The
24 methodology is presented by U.S. EPA at this website here.
25 And, in fact, this is the modeling software we used to

1 develop a benchmark dose.

2 --o0o--

3 OEHHA STAFF TOXICOLOGIST DODGE: Okay. These are
4 the proposed RELs. The acute 1 hour, 770 micrograms per
5 cubic meter, which is equivalent to 170 parts per billion.
6 An 8 hour, which is 7 micrograms per cubic meter. And a
7 chronic REL, which is two micrograms per cubic meter.

8 The 8-hour is a intermittent exposure, 7 days per
9 week. Chronic is a 24-hour exposure, 7 days per week.

10 The RELs are based on sensory irritation. That's
11 the acute effect. And for chronic in the 8-hour, it's
12 based on injury to the epithelium in the upper airways.

13 PANEL MEMBER BLANC: Isn't there a rounding error
14 in the 8-hour? Wasn't the part per million conversion
15 something like 4.6?

16 OEHHA STAFF TOXICOLOGIST DODGE: Right. In the
17 original draft we had out several months ago, it was
18 presented wrong.

19 PANEL MEMBER BLANC: Well, how can 7 micrograms
20 be 1 part per billion. Shouldn't it be 1. --

21 OEHHA STAFF TOXICOLOGIST DODGE: There is a
22 rounding that occurs.

23 PANEL MEMBER BLANC: But isn't it 1.6 parts per
24 billion or something, or --

25 OEHHA STAFF TOXICOLOGIST DODGE: It's probably

1 like 1.5 and it's rounded down.

2 PANEL MEMBER BLANC: I would say that if you're
3 at this kind of thing and you're trying to tell us the
4 difference between 0.5 and 1, you should go out a decimal
5 point in your -- it's silly to round in this way. If
6 you're getting down to the 0.5 parts per billion, let us
7 understand that the 8-hour is three times greater not two
8 times greater.

9 OEHHA STAFF TOXICOLOGIST DODGE: Um-hmm.

10 PANEL MEMBER BLANC: Do you see what I'm saying.

11 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:

12 The 8-hours are adjusted for breathing right.

13 We're assuming that you're --

14 PANEL MEMBER BLANC: I'm just talking about
15 dividing 7 by 4.6. I'm not talking about --

16 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:

17 Okay. We can fix that.

18 PANEL MEMBER BLANC: -- how you got there.

19 PANEL MEMBER NAZAROFF: Or even look at just -- I

20 had the same reaction, so I'll amplify Paul's comment.

21 The chronic mass concentration to 8-hour mass

22 concentration goes up by a factor of three and a half.

23 And then if you look at the part per billion levels, it

24 only goes up by a factor of two. But of course the

25 conversion factor is the same in both cases.

1 So if I was, let's say, an industry
2 representing -- a toxicologist working on behalf of
3 industry, I would pick the set of units that gave me the
4 most favorable outcome, because one of those is going to
5 be favorable than the other in any particular case.

6 And when you're just dealing with one as the
7 significant -- when you have one significant figure and
8 one is the digit that appears, your uncertainty is 50
9 percent, because it can be anything between 0.5 and 1.5
10 that rounds to 1.

11 In that case, using one and a half significant
12 figures, which means a second significant figure when one
13 is the first digit eliminates the problem. So just --

14 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
15 We'll fix it.

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
17 CHIEF MARTY: It should be 1.5 ppb. Duly noted.

18 PANEL MEMBER NAZAROFF: Thank you. Sorry, not to
19 get on my box, my soap box.

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
21 CHIEF MARTY: That's okay.

22 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
23 That's fine.

24 PANEL MEMBER NAZAROFF: Significant figures is
25 one of my favorite stories.

1 --o0o--

2 OEHHA STAFF TOXICOLOGIST DODGE: Much of our
3 information for sensory irritation comes from worker or
4 occupational studies, where upper respiratory tract
5 irritation was noted, eye irritation, and dermal contact
6 irritation.

7 From one of these worker studies in particular,
8 we can identify what's basically a LOAEL of 10 parts per
9 million, which is equivalent to 46 milligrams per cubic
10 meter. But there wasn't enough data there from which to
11 find a NOAEL.

12 And the worker studies also didn't provide robust
13 data from which we could determine long-term exposure in
14 humans or the effects, in terms -- in other words, we
15 couldn't develop a chronic REL based on the worker
16 studies.

17 --o0o--

18 OEHHA STAFF TOXICOLOGIST DODGE: Now, to begin
19 with, the acute REL derivation, it's based on occupational
20 a study for Ferguson and Wheeler in unacclimated workers.

21 Five workers stood at various distances from the
22 emissions source, the caprolactam vapor. Now, it's vapor,
23 but it probably -- it may not be all in a vapor form,
24 especially at the concentrations we're looking at.

25 They stood, you know, for several minutes near

1 the emissions source. And the concentrations measured
2 were 10, 14, 25, and 104 parts per million. Most or all
3 the workers experienced transient nasal irritation at all
4 concentrations.

5 This data didn't -- it wasn't robust enough to
6 determine a dose response from this data in which to use
7 the benchmark dose approach.

8 CHAIRPERSON FROINES: If you have those results,
9 what makes you think that there's going to be a NOAEL in
10 any case? That looks like a linear dose response.

11 OEHHA STAFF TOXICOLOGIST DODGE: Well, I don't
12 have the raw data to show you here in any of these slides.

13 PANEL MEMBER BLANC: Basically, John, their
14 summary of it basically said they were almost all
15 symptomatic at all of the levels. You actually
16 couldn't --

17 OEHHA STAFF TOXICOLOGIST DODGE: Even at the
18 lowest level.

19 CHAIRPERSON FROINES: That's what I'm saying.
20 That's my point.

21 PANEL MEMBER BLANC: They don't claim any dose
22 response. They're trying to say that the lowest effect
23 level was 10. They don't have a no effect level.

24 But you don't even say how it was that they
25 measured this. Was this personal breathing zone

1 measurements or were these area measurements? You don't
2 even say that in your summary. Did they say it in their
3 paper, in their five page paper that almost generated five
4 pages of summary in your document?

5 OEHHA STAFF TOXICOLOGIST DODGE: They weren't
6 very specific on that point.

7 PANEL MEMBER BLANC: Okay. Well, I'll be coming
8 back to that. I'm going to let you finish, and then I'm
9 going to be critiquing this whole document extremely
10 severely. I'm just preparing you.

11 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, sure.

12 --o0o--

13 OEHHA STAFF TOXICOLOGIST DODGE: We already
14 covered the first point, a LOAEL of 10 part per million
15 and above led to transient nasal and throat irritation.
16 We did not apply a time adjustment, because it's a --
17 sensory irritation is a concentration dependent response
18 not time dependent. So there was no change in time
19 extrapolating from the several minute exposure to 1-hour
20 exposure.

21 We applied a LOAEL to NOAEL uncertainty factor of
22 6. And this is based on work we did in our own office
23 here, in which we looked at sensory irritation studies in
24 humans. We found that an uncertainty factor of 6 would
25 cover the span of 95 percent of all the studies we found.

1 Intraspecies uncertainty factor. Toxicokinetic
2 was a 1, because it's a site of contact irritant.
3 Toxicodynamic uncertainty factor was 10. This is applied
4 based on our methodology to protect against the human
5 variation, in particular it's for asthma.

6 PANEL MEMBER BLANC: In children.

7 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, in
8 children.

9 The cumulative uncertainty factor is 60. So we
10 take the 10 parts per million or 46 milligrams per cubic
11 meter divide it by 60 and this is our acute REL at 770
12 micrograms per cubic meter.

13 --o0o--

14 OEHHA STAFF TOXICOLOGIST DODGE: Our 8-hour and
15 chronic REL derivation is based on the same study, a
16 13-week rat study, five days per week, six hours per day,
17 at 24, 70, and 243 milligrams per cubic meter. And this
18 was by Reinhold et al., 1998. And this is the study that
19 was by the industry.

20 PANEL MEMBER BLANC: Would you just clarify --
21 sorry to interrupt you -- what standard OEHHA policy is on
22 the number of weeks that are the minimum number of weeks
23 for an experimental study for you to classify it as
24 chronic? Do you have a cutoff for that?

25 OEHHA STAFF TOXICOLOGIST DODGE: Well, in this

1 case, it's a 13-week study which --

2 PANEL MEMBER BLANC: I understand that this is --
3 my question is more generic. Do you have a precedent for
4 calling this a chronic study?

5 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
6 I think we follow U.S. EPA precedent.

7 PANEL MEMBER BLANC: Which is, just to remind us
8 all?

9 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
10 Which is that 13 weeks is subchronic.

11 PANEL MEMBER BLANC: What's that?

12 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
13 Thirteen weeks is subchronic.

14 OEHHA STAFF TOXICOLOGIST DODGE: He wants to know
15 if we use shorter exposure durations for chronic.

16 PANEL MEMBER BLANC: Yeah, I would classify it as
17 subchronic too. You're classifying it here as chronic.

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
19 CHIEF MARTY: Actually, it says subchronic to chronic --

20 OEHHA STAFF TOXICOLOGIST DODGE: We do a
21 factor --

22 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
23 We have an uncertainty factor that we applied for
24 subchronic too.

25 PANEL MEMBER BLANC: So anything over four weeks

1 is subchronic?

2 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:

3 I think over 13 weeks would be considered
4 chronic.

5 PANEL MEMBER BLANC: You mean 13 is the minimum,
6 is that what you're saying?

7 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
8 Over 13 would be the minimum for chronic.

9 OEHHA STAFF TOXICOLOGIST DODGE: In rodents a
10 13-week study is approximately 12 and a half percent of
11 lifetime. And we consider anything over 12 percent
12 lifetime exposure.

13 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
14 It's kind of borderline.

15 OEHHA STAFF TOXICOLOGIST DODGE: So it's
16 borderline.

17 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
18 CHIEF MARTY: When we did our methodology document,
19 adopted EPA's definition of a chronic exposure, which is
20 12 percent of the lifetime.

21 EPA also, because it is so common to do these
22 13-weeks studies, EPA sometimes applies a subchronic to
23 chronic uncertainty factor when they're deriving, for
24 example, an RfC and we do the same. So, in this case, we
25 did apply an additional uncertainty factor to extend out

1 for chronic exposure.

2 --o0o--

3 OEHHA STAFF TOXICOLOGIST DODGE: Okay
4 observations that were seen during the study. What they
5 found -- what appeared during the second week of the
6 exposure was a treatment related increase in labored
7 breathing, nasal discharge, and moist rales. This began
8 the second week of the study.

9 And at sacrifice, they found nasal and laryngeal
10 tissue damage. And that was also treatment related. They
11 found these effects even at the lowest exposure of 24
12 milligrams per cubic meter. So there's a LOAEL of 24
13 milligrams per cubic meter, and no NOAEL for this study.

14 --o0o--

15 CHAIRPERSON FROINES: Are you deriving your --
16 you're using BCML₀₅, so that tells me you're doing a
17 benchmark.

18 OEHHA STAFF TOXICOLOGIST DODGE: Yes. Yes, that
19 was what we were using as our point of departure.

20 CHAIRPERSON FROINES: Okay. Then why do you have
21 a LOAEL?

22 OEHHA STAFF TOXICOLOGIST DODGE: That's just to
23 demonstrate where -- that there was no NOAEL here.

24 Okay, now I'm going to go --

25 CHAIRPERSON FROINES: But it's important to

1 emphasize, because the question of whether or not there
2 should be a low dose linear response of course is a
3 question. And here, you're using the benchmark and you
4 should make that clear, because it's not made clear in the
5 document.

6 OEHHA STAFF TOXICOLOGIST DODGE: Okay. Even
7 though we didn't find a NOAEL in the study, we did see a
8 dose response effect, in which we could use the benchmark
9 concentration approach to extrapolate to a point of
10 departure below the so-called LOAEL we have here of 24
11 milligrams per cubic meter. And I'll explain that a
12 little more in the next slide.

13 The BMCL is the upper bound or it's the lower
14 bound 95 percent interval at the five percent response
15 rate. Did I explain that correctly?

16 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
17 I think. So

18 OEHHA STAFF TOXICOLOGIST DODGE: And that's three
19 milligrams per cubic meter.

20 CHAIRPERSON FROINES: That's correct.

21 OEHHA STAFF TOXICOLOGIST DODGE: To this three
22 milligrams per cubic meter we applied a -- which is our
23 point of departure, we applied a time adjustment. Because
24 the exposure in the rats were six hours per day, five days
25 a week, we had to extrapolate to eight hours per day,

1 seven days a week. And so the time-adjusted value goes
2 down here from three.

3 And for chronic, we're assuming or using 24 hour
4 exposure, seven days per week.

5 We also applied the human equivalent
6 concentration, the HEC approach, which is also a U.S. EPA
7 method. Based on regional gas dose ratio, this is a rat
8 to human extrapolation, specifically for the upper
9 airways. It takes into account differences in surface
10 area of the upper airways, respiration rate, body weight,
11 and a few other little variants that are in there.

12 And so when you plug this in, you get 0.25. So
13 applied to the time-adjusted value, you get an 8-hour of
14 0.402 milligrams per cubic meter, and a chronic of 0.134
15 milligrams per cubic meter.

16 --o0o--

17 OEHHA STAFF TOXICOLOGIST DODGE: Here's the dose
18 response data for caprolactam from the rat study. This is
19 what we based our benchmark dose -- or benchmark
20 concentration approach on -- or calculation.

21 The nasal effects here of at sacrifice, there was
22 minimal and/or slight changes even in the control animals.
23 So there was a background level of inflammatory response
24 going on, even in the control animals.

25 Removing that shows why caprolactam -- the injury

1 that caprolactam does in the animals over and above
2 background levels. So essentially, it's an exacerbation
3 of a normal low level of inflammation that's going on
4 already in the rats. That's for the nasal findings,
5 respiratory mucosa, and the olfactory mucosa.

6 For the laryngeal tissues, there was no
7 background inflammatory process going on. So that's the
8 pure data right there.

9 --o0o--

10 OEHHA STAFF TOXICOLOGIST DODGE: Applying the
11 benchmark concentration approach. We got a BMC₀₅ -- or I
12 should start out with a BMCL₀₅. That's what we used as
13 our point of departures.

14 For the nasal respiratory mucosa, it's four
15 milligrams per cubic meter. Olfactory it's 12. And the
16 laryngeal tissue is three. And so that's what we actually
17 use as our point of departure for the REL, which was based
18 on the laryngeal tissue.

19 Now, the next column over is the BMC₀₅. That is
20 the so called response rate. And the BMCL₀₅ again is the
21 lower bound -- 95 percent lower bound on the five percent
22 response rate.

23 A P value gives an indication of the curve fit to
24 the data. And the AIC is the Akaike Information
25 Criterion, which U.S. EPA recommends you base your values

1 on the lowest AIC value. In other words, you'd run
2 several models through, the data, and you get several
3 numbers. And the lowest AIC is what they recommend
4 using -- or using the model at the lowest AIC.

5 --o0o--

6 OEHHA STAFF TOXICOLOGIST DODGE: And here's an
7 example in graph form of the data or what the BMC method
8 generates. This is for the laryngeal findings. And a
9 curve or line is fit to the data. And at the lower end
10 there is your BMCL and BMC_{05} .

11 --o0o--

12

13 OEHHA STAFF TOXICOLOGIST DODGE: So we have our
14 point of departure. And for uncertainty factor
15 application, we did apply a subchronic to chronic
16 uncertainty factor of two. This is because 13 weeks is
17 considered borderline chronic for exposure in rodents.
18 And there's evidence, particularly in formaldehyde and
19 acetaldehyde, when you look at their 13-week studies in
20 rodents and the chronic studies and you compare the two
21 and those particular compounds, you get an uncertainty
22 factor of two or less. Now these two compounds also cause
23 inflammatory injury to the upper airways.

24 An interspecies uncertainty factor of one is used
25 because we applied the RGDR, Regional Gas Dose Ratio,

1 already of 0.25, and also because the compound is direct
2 acting irritant.

3 And the toxicodynamic uncertainty factor is root
4 10 per lack of data. We only have the one rodent study in
5 rats. If we had a mouse study as well, it's quite
6 possible that could have been reduced to a one.

7 --o0o--

8 OEHHA STAFF TOXICOLOGIST DODGE: Now, the
9 intraspecies uncertainty factors. Toxicokinetic is a one
10 here in this case. Toxicodynamic to take into account
11 human variation, specifically children of with asthma, is
12 assigned a 10.

13 The cumulative uncertainty factor is then 60,
14 which applied to the adjusted values you saw on previous
15 slides, the result is an 8-hour REL of seven micrograms
16 per cubic meter and a chronic REL of two micrograms per
17 cubic meter.

18 --o0o--

19 OEHHA STAFF TOXICOLOGIST DODGE: Okay. We also
20 looked at the repro developmental studies. There are no
21 inhalation studies. All we had was oral studies.
22 However, there's a couple of very well run studies. And
23 the finding among those studies was fetotoxicity in the
24 form of reduced fetal body weight. The NOAEL in this
25 study was 700 milligrams per kilogram. We did a

1 CHAIRPERSON FROINES: That's what I'm asking,
2 what is the time? Oh, I've got it here, 3:30.

3 What I'm worried about is that I think you're
4 going to have lots of comments from the Panel, so I would
5 say to take no more than 10 minutes for response to
6 comments, is that reasonable?

7 PANEL MEMBER GLANTZ: Or do you think it would be
8 better to just -- if some of the Panel members have strong
9 opinions, maybe we should make sure those get heard first.

10 CHAIRPERSON FROINES: Of course.

11 PANEL MEMBER GLANTZ: Because we're not going to
12 vote on it today.

13 CHAIRPERSON FROINES: Why? We may or may not.

14 PANEL MEMBER BLANC: I certainly wouldn't.

15 CHAIRPERSON FROINES: You would not?

16 PANEL MEMBER BLANC: No.

17 CHAIRPERSON FROINES: Okay. So we're not going
18 to vote, so we will -- and Paul has lots, and he's next to
19 Bill. So we'll get to those two fast.

20 So I would, again, say about 10 minutes would be
21 appropriate. And if you think -- but if you think there
22 are comments that are particularly important, then we will
23 extend them.

24 PANEL MEMBER BLANC: You know what I would
25 suggest, John, actually is let's hold on the comments,

1 because I think some of what I'm going to say is going to
2 deal with what I think are some of the more salient
3 comments.

4 PANEL MEMBER GLANTZ: Yeah.

5 CHAIRPERSON FROINES: So you think -- well,
6 then --

7 PANEL MEMBER GLANTZ: Yeah. Let's have the Panel
8 discussion.

9 PANEL MEMBER HAMMOND: Because we can read the
10 comments.

11 CHAIRPERSON FROINES: Okay. Let's go with --
12 that's a good idea. Bill, why don't you start out.

13 PANEL MEMBER NAZAROFF: I'd actually like to hear
14 what Paul has to say, first, but I have some comments
15 about the exposure-related issues, some of which I've
16 already communicated directly to Melanie, and the problem
17 with using what is undoubtedly particle inhalation
18 exposure conditions when you get above the saturation
19 vapor pressure concentration above 13 milligrams per cubic
20 meter, to make inferences about insult to upper
21 respiratory tract tissues for vapors. So that -- I'll say
22 more about that, but --

23 CHAIRPERSON FROINES: Bill, let me ask you this,
24 because of the time issue. Have what you told the Panel
25 just now and what you told Melanie sufficient --

1 PANEL MEMBER NAZAROFF: That captures 90 percent.

2 CHAIRPERSON FROINES: -- for your comments?

3 PANEL MEMBER NAZAROFF: Yes.

4 CHAIRPERSON FROINES: Okay. Paul.

5 PANEL MEMBER BLANC: So, I think my first
6 question is what do you see is your charge or goal in
7 preparing a document like this? Was it your intent, at
8 least, to summarize, even if briefly, the human health
9 effects even if they were not going to be specific to a
10 study which would yield your risk calculations?

11 In other words, sort of like a mini document that
12 would be -- to determine a TAC, but not as elaborate. Is
13 that -- am I correct in that?

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
15 CHIEF MARTY: Yeah, for the reference exposure levels, we
16 typically have not done -- we haven't developed a whole
17 huge document for each chemical. Although, some of them
18 are pretty big, depending on the availability of data.

19 But we essentially tried to summarize briefly
20 what is known about the toxicity of the chemical, and then
21 focus more on describing what we call the key studies to
22 development of the value.

23 PANEL MEMBER BLANC: So I would say that it may
24 have to do with various limitations of resources, but I
25 think the document is unacceptable on that criterion. It

1 does not sufficiently deal with the human health effects.
2 And I think the reason why is because perhaps you got too
3 hung up on trying to identify the study.

4 So, for example, you have ignored the human case
5 report literature entirely, and that is why relevant
6 because this is a well documented cause of contact
7 dermatitis. The way you describe it you would only think
8 this is a contact irritant of some sort.

9 Now, I didn't -- it's not my job, so I didn't
10 pull the cases of contact dermatitis, but they seem to be
11 well documented. And in particular, more disturbing,
12 there's a case report in the Archives of Internal Medicine
13 from not so very long ago, certainly contemporary to some
14 of the studies you rely on, which is not only a case of
15 contact dermatitis, but a case of new onset seizures in
16 someone who'd only been exposed for three days in a
17 factory or a week or something.

18 So that study is not cited, not mentioned, not
19 summarized. And, in fact, the entire issue of this
20 chemical having as a potential target organ, the CNS is
21 sort of ignored absent. And that I understand why you
22 have -- don't have the studies that you might want, but
23 you need to grapple with that. And the data may be soft,
24 but they need to be alluded to the extent that they're
25 weak.

1 It seems to me that -- just one of John's
2 phrases, it seems to me -- one other thing you've done is
3 this was a chemical with which the Russian literature was
4 obsessed for some reason in the 1950's, maybe because of a
5 Nylon 6 production in the former Soviet Union. But none
6 of the Russian literature is cited, even translated
7 abstract.

8 So I think you've got to identify the resources
9 at least to screen the Russian literature from the fifties
10 and sixties. It's been discounted by other in reviews,
11 but nonetheless it needs -- you'd have no way of knowing
12 it exists.

13 I think John's question at the very, very
14 beginning and why I was resistant to start off with that
15 is, yes, the literature, as cited here, is weak and
16 there's -- and you make it weaker because you tend to
17 cite -- this document tends to cite things, which are out
18 of date.

19 I'll just give you a little example. The whole
20 section on occurrence and major uses cites literature
21 that's not really exactly peer reviewed, but whatever it
22 is, it's 20 years old. Well, uses change in a product
23 like this over 20 years. I mean give me something that's
24 a little more up to date.

25 I don't even have a sense what percentage of

1 carpeting that's sold in the United States contains some
2 amount of Nylon 6. It's not clear. You've come to this
3 because of its indoor air pollution potential really,
4 and -- or at least that's why it seems to be a hot topic,
5 but I can't put it into context.

6 The points that you were making I found also --
7 not just from a toxicological point of view, but the way
8 the words dust and vapor and fume are used here are
9 incredibly sloppy. Now, it may be that the authors that
10 you're citing were sloppy, but that's no reason for you
11 not to let the reader know that you know that that's the
12 inappropriate term. When you talk about caprolactam dust,
13 you don't mean dust in the way an industrial hygienist
14 would normally be using the term, I don't think.

15 OEHHA STAFF TOXICOLOGIST DODGE: Well, that's how
16 it was described in the paper.

17 PANEL MEMBER BLANC: Yeah, but that doesn't mean
18 you have to stick with that. I mean, they may have used
19 the wrong word, but you need to say what you think it was.

20 I mean that's why this is an assessment document.

21 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
22 We can correct that.

23 PANEL MEMBER BLANC: Now, there's -- I don't
24 think also you've done your homework. I mean, I was able
25 easily on Google to identify an EPA document which has

1 animal data from a 72-week -- sorry, 72-day exposure at
2 six hours a day with a variety of species showing a
3 variety of disturbing endpoints at levels which are
4 relevant to what you want. I can give you that document
5 number. It's an industry document that was submitted to
6 the EPA, probably not so long ago in some kind of house
7 cleaning of here's information that we have.

8 I mean, it's hard for me to believe, in fact,
9 that given nylon and the industry, that there aren't
10 industry -- other industry toxicological data, either from
11 Haskell Labs or somewhere else. So I think some due
12 diligence.

13 One of the things I've always admired about you
14 guys is you don't sort of take the federal EPA, you know,
15 passive approach and have, you know, been more dogged in
16 doing some of this stuff.

17 One of the critiques that you had -- I don't know
18 why exactly they made this critique, because you'd think
19 they would have been happy with it. But apparently the
20 previous version of this, which we didn't see as a review
21 panel, did not use this week industrial study from 1973,
22 which I don't know how one could interpret that you chose
23 a 10 part per million LOAEL, but rather used the data from
24 the controlled human experiment.

25 OEHHA STAFF TOXICOLOGIST DODGE: The

1 controlled --

2 PANEL MEMBER BLANC: And then you abandoned that.
3 It seems to me that you abandoned it, because you couldn't
4 do benchmark calculations with it, but yet the data --

5 OEHHA STAFF TOXICOLOGIST DODGE: No, that's not
6 it at all.

7 PANEL MEMBER BLANC: Well, then what is it?
8 Because it's clear from the data you present -- I didn't
9 pull the paper -- that the level at the five million --

10 OEHHA STAFF TOXICOLOGIST DODGE: Okay. Dr. Blanc
11 is referring to study, acute human chamber study by
12 Ziegler et al., where exposures were for six hours at
13 concentrations of the 0, 0.15, 0.5 and 5 milligrams per
14 cubic meter. They looked at a number of objective and
15 subjective measures of sensory irritation in the upper
16 airways, eye blink, tear formation, nasal --

17 PANEL MEMBER BLANC: Rhinometry

18 OEHHA STAFF TOXICOLOGIST DODGE: Right -- and
19 subjective questioning as well. They didn't see
20 irritation -- sensory irritation even at the highest
21 exposure. What they did find was a --

22 PANEL MEMBER BLANC: You mean they didn't see
23 quantifying --

24 OEHHA STAFF TOXICOLOGIST DODGE: -- nuisance --
25 odor nuisance basically.

1 PANEL MEMBER BLANC: Well, that's -- but that's
2 not a correct characterization of their findings at all,
3 based on the table that you present on page six. I
4 certainly wouldn't agree with that characterization.

5 First of all, you later make the point that you
6 think the odor nuisance was what drove the cumulative
7 association, and yet --

8 OEHHA STAFF TOXICOLOGIST DODGE: That's what
9 appears to be the case, yes.

10 PANEL MEMBER BLANC: Well, in fact, that doesn't
11 appear to be the case to me looking at the statistics,
12 because I grant you, you derive these from the table, but
13 the sort of mean level of complaints is very close to the
14 mean level for eye and for total irritation, and not for
15 the other nuisance.

16 And because they had a number of different
17 questions that they apparently asked for each category, I
18 think that they weighted them equally, so that some of
19 those drove more than others. And so I don't -- at least
20 base on what you presented -- I didn't pull the paper --
21 your argument wasn't convincing.

22 And the ANOVA that you present was, in fact,
23 significant. And in just looking at the data, it looks
24 like the highest category there's an effect.

25 And the quantitative data that they did, as you

1 reported, you said that there was a trend which was not
2 significant. Now a trend which is not statistically
3 significant, as I think Stan will agree, is not -- there's
4 no evidence of an effect. So it's not that there wasn't
5 some kind of an effect. I didn't see the data, because I
6 didn't pull the paper and review it.

7 But if you're going to disregard this paper --
8 apparently you were convinced that you could use it the
9 first time around and then abandon it. But I think that
10 that was probably an inappropriate decision, especially
11 because the other paper seems to be so awful.

12 OEHHA STAFF TOXICOLOGIST DODGE: Well, I could go
13 into a little more detail, of course, but the --

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
15 CHIEF MARTY: I think you should.

16 OEHHA STAFF TOXICOLOGIST DODGE: -- subjective
17 sensory irritation --

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
19 CHIEF MARTY: I think we should explain why we did what we
20 did, please.

21 OEHHA STAFF TOXICOLOGIST DODGE: Well, the
22 sensory -- your subjective sensory irritation barely
23 registered as a trend, okay. Generally, when you turn on
24 those various sensors there in your nasal cavity, you're
25 going to get an immediate response. Okay, there's a

1 threshold generally for sensory irritation. And what you
2 should see in that data is a sudden and steep increase in
3 the response, and that is just not there.

4 So it may be close to what might be considered
5 sensory irritation in stimulating the trigeminal nerves,
6 but it didn't -- it strongly looks like it didn't quite
7 make it there, okay. We have a very -- we have a very
8 shallow line or curve going through the data points, 0.15,
9 0.5 and 5.

10 PANEL MEMBER GLANTZ: Right. But now when I
11 looked -- and I think I'm talking about the same thing.
12 When I looked at that, it seemed to me that there was
13 evidence of not an effect. So I couldn't figure out how
14 you were using that to estimate the effect.

15 So, you know, the whole thing -- I mean, it
16 doesn't bother me necessarily to say there was a
17 non-significant trend, but all you had in there -- again
18 if it's the one I'm thinking of -- is it was just P was
19 greater than 0.05.

20 PANEL MEMBER BLANC: Yeah.

21 PANEL MEMBER HAMMOND: Yes.

22 PANEL MEMBER GLANTZ: Well, if P is greater
23 than -- if P is 0.06 or 0.08, that suggests a trend.

24 OEHHA STAFF TOXICOLOGIST DODGE: Right. And I --

25 PANEL MEMBER GLANTZ: But if it's 0.4, it

1 doesn't. So I really choked on that part of the argument.

2 OEHHA STAFF TOXICOLOGIST DODGE: I've giving you
3 as much information as I could get. I emailed all the
4 authors about the paper on that exact point. I wanted to
5 know what the P value actually was, but they don't have
6 the data for me to give to me yet.

7 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
8 Yeah. We asked for the raw data for the study.
9 If we could have gotten the raw data, we would have.

10 PANEL MEMBER GLANTZ: But then if you can't get
11 that, and I can sympathize with that problem, but then I
12 don't see how, based on what's there, you can draw the
13 conclusion that you drew. You know, I mean, I think if
14 you've got the raw data and you looked at it, you might
15 well find evidence or a suggestion of a trend. But at
16 least based on what's in the report, it looked to me like
17 it was a suggestion of nothing, unless I was
18 misunderstanding it.

19 PANEL MEMBER BLANC: So you're saying maybe
20 that's the point they we're trying to make, but it got
21 confused by reporting the benchmark and doing the
22 benchmark dose calculation.

23 PANEL MEMBER GLANTZ: Yeah. I didn't see how you
24 could do it. I mean, I walked away feeling inadequate,
25 because I just didn't see how you could even use that data

1 to do it, based on the way you described it.

2 CHAIRPERSON FROINES: Stan, are you saying that
3 you thought you interpreted it as no effect?

4 PANEL MEMBER GLANTZ: Yeah, I thought it was
5 pretty flat. Now, maybe I was misunderstanding something.

6 CHAIRPERSON FROINES: Because I would have said
7 the opposite.

8 PANEL MEMBER BLANC: No, it's probably the way
9 they presented it, because they put greater than 0.05.
10 That's a little confusing.

11 PANEL MEMBER GLANTZ: Yeah, but I was looking at
12 the raw numbers. And those didn't -- those just seem to
13 be bouncing around.

14 PANEL MEMBER BLANC: And then the numbers -- they
15 didn't ever provide numbers for the quantitative stuff.
16 They just said it wasn't -- there was a trend that wasn't
17 significant without ever providing any kind of numeric.

18 PANEL MEMBER NAZAROFF: Their table -- this is
19 the paper. Their table is the same as the one that's --

20 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
21 Yeah. Maybe we can clear this up a little bit.
22 The total symptom score was significant, but when we took
23 out the odor-related stuff, it wasn't significant, is that
24 right Daryn?

25 CHAIRPERSON FROINES: I'm sorry --

1 OEHHA STAFF TOXICOLOGIST DODGE: That is the
2 exact question we wanted to ask the authors, but they
3 couldn't come back with an answer.

4 CHAIRPERSON FROINES: Could you restate that,
5 because there was noise down here, and I'm not sure
6 everybody heard that.

7 OEHHA STAFF TOXICOLOGIST DODGE: Well, the total
8 symptoms score is based on 29 questions that they've asked
9 the volunteers.

10 PANEL MEMBER BLANC: Right.

11 OEHHA STAFF TOXICOLOGIST DODGE: This could
12 be -- this would include subjective sensory irritation
13 data. It included odor questions. It included questions
14 on sense of well-being, okay.

15 Now, they didn't list all 29 of these questions
16 were in their paper, and that was one of the answers I
17 wanted from the authors. But what they do say is that --
18 or imply is that a number of these sense of well-being
19 questions perhaps is like a feeling of nausea or headache,
20 often are generated by odor, okay.

21 The accumulated sensory -- subjective sensory
22 irritation questions did not reach statistical
23 significance at the highest concentration by itself, when
24 you add that in with all the other data, including odor,
25 which was the most significant finding.

1 PANEL MEMBER BLANC: There was a control, yeah.

2 CHAIRPERSON FROINES: But does that mean -- I
3 mean, the problem with what you just said, if I understood
4 it, is that they are using odor to justify their results,
5 but it's not entirely clear to me that those results
6 aren't of respiratory irritation aren't realistic.

7 OEHHA STAFF TOXICOLOGIST DODGE: I didn't quite
8 follow there. Are you saying that you think the sensory
9 irritation there is significant at the highest dose?

10 CHAIRPERSON FROINES: I'm saying that that's
11 possible.

12 OEHHA STAFF TOXICOLOGIST DODGE: Um-hmm. Well,
13 they combined --

14 CHAIRPERSON FROINES: Paul, what do you think?
15 You're the respiratory guy.

16 PANEL MEMBER BLANC: Well, I didn't go through
17 the whole paper, so it -- what I'm still trying to sort
18 out -- I think the -- all right, let's take a step back.
19 Everybody would agree that if one could use these data,
20 these would be the data you would use preferentially over
21 the 1973 factory data, right? I mean, I think that's why
22 you used it originally.

23 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
24 We weren't thrilled with it.

25 PANEL MEMBER BLANC: I mean, because it's an

1 experimental study. It's a control. They actually
2 measure the exposure levels. We're not even sure how they
3 measure the exposure levels in the workplace one, is that
4 right?

5 OEHHA STAFF TOXICOLOGIST DODGE: Well, the
6 problem is it looks like it's odor that generated that
7 total symptom score. It's hard for us to base a REL on
8 odor if there -- if the effect is not that great. I mean,
9 we're talking about an increase of average odor response
10 of between barely and somewhat a nuisances. Okay, three
11 of the people did respond that it was moderately severe
12 nuisance to them. Three out of the 20, okay.

13 PANEL MEMBER BLANC: Right.

14 OEHHA STAFF TOXICOLOGIST DODGE: But they didn't
15 leave the room for the entire six hours, and they didn't
16 seem to report any severe headache or other responses.

17 So, you know, it's a bit of a -- you know, it's
18 an indicator that it's probably more of an N-O-E-L, a No
19 Observed Effect Level or LOEL, Lowest Observed -- but not
20 an adverse effect.

21 PANEL MEMBER BLANC: Right, but the problem -- I
22 mean, what John is bringing up is that you have to --
23 you're -- if that's true, then that's the case. But the
24 problem is if it's not what's driving it, what does the
25 body of evidence from this study look like? Does it

1 convince you that five is -- I think you're certainly
2 convinced that there's no effect below five. So the
3 question is, is there effect at five? Is five a LOAEL or
4 not, right, isn't that what it comes down to?

5 OEHHA STAFF TOXICOLOGIST DODGE: Right, a
6 L-O-A-E-L. Yeah.

7 PANEL MEMBER BLANC: Right. So you're not so --
8 so that's one thing I would urge, a more integrated
9 approach. So if you look at there's a figure on
10 resistance, on nasal resistance, which was apparently
11 not -- which showed a trend that was not statistically
12 significant, right?

13 OEHHA STAFF TOXICOLOGIST DODGE: Yeah. And I
14 would really like to know what that statistical measure
15 was, yeah.

16 PANEL MEMBER BLANC: But if you look at it
17 graphically, that looks like a pretty convincing dose
18 response, doesn't it, where there was --

19 CHAIRPERSON FROINES: Where's that?

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
21 CHIEF MARTY: Stan, it's in the paper, not --

22 PANEL MEMBER NAZAROFF: Yeah, that's Figure 4 of
23 their paper.

24 CHAIRPERSON FROINES: Can I ask a question?

25 The Ziegler paper, if I understood Paul, he said

1 that you were convinced that there was no effects below
2 five. But when I look at that data, I'm not convinced
3 there are no effects below five. And so that I'm not sure
4 I would agree with your conclusion.

5 OEHHA STAFF TOXICOLOGIST DODGE: You mean, the --
6 well, there is a -- even at the lowest concentration of
7 0.15, there is a recognition of odor that the volunteers
8 could smell.

9 CHAIRPERSON FROINES: I don't have a 0.15 in what
10 I'm looking at.

11 PANEL MEMBER HAMMOND: Yes, you do. Second
12 column.

13 OEHHA STAFF TOXICOLOGIST DODGE: Well, that's --

14 PANEL MEMBER BLANC: 0.15, 0.5, 5.

15 PANEL MEMBER HAMMOND: I actually have a
16 questions. You said this several times and I think you're
17 quoting the author on this, and it's not clear to me about
18 this. And that is you keep saying that the ability to
19 perceive the odor of something can lead to headaches and
20 to these other perceived problems. Is that a well
21 recognized established fact? I mean, to me that's not
22 obvious.

23 OEHHA STAFF TOXICOLOGIST DODGE: You mean it
24 colors their other --

25 PANEL MEMBER HAMMOND: Yeah. I mean, I can

1 understand --

2 OEHHA STAFF TOXICOLOGIST DODGE: -- answers to
3 the other questions on the questionnaire, yes.

4 PANEL MEMBER HAMMOND: It sounds like -- yeah, I
5 mean, it sounds like that could be an explanation someone
6 proposes, but I think that's distinctly different from
7 saying that's been established as an explanation.

8 CHAIRPERSON FROINES: When we did MTBE, we had
9 odor responses, but we certainly had no headaches.

10 PANEL MEMBER HAMMOND: Well, no, but I guess what
11 I'm saying too is I understand the idea that it might be
12 suggestive, that as soon as they smell, maybe some people
13 get headaches from this, maybe. But also, when you can
14 smell, it could just be that at the same time you're
15 responding in different ways. But I don't think one
16 should just assert that unless there's more evidence that
17 I just don't know. Is there a generally accepted thing?

18 OEHHA STAFF TOXICOLOGIST DODGE: Yeah. Well,
19 there's quite a body of evidence that looks at that exact
20 response, I mean, how odor affects how people feel.

21 PANEL MEMBER GILL: In most cases, when you
22 behavioral sense of odor, there's always a control
23 chemical that is used to --

24 PANEL MEMBER HAMMOND: To mask it.

25 PANEL MEMBER GILL: -- assess an individual

1 population. Apparently, that is not in this particular
2 case, is that true?

3 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, there was
4 no other chemical.

5 PANEL MEMBER HAMMOND: To mask it, right.

6 PANEL MEMBER GILL: Because you really need to
7 see the response of individuals to odor and relate that to
8 a physiological response. So I would be cautious about
9 that particular interpretation.

10 PANEL MEMBER BLANC: Right. I mean maybe there's
11 an approach that you could take to these data that would
12 be non-parametric, that would answer the question, you
13 know, is there a difference between the category of five
14 and the category of what's the other categories, because
15 it seems that in every one of their evaluations, you --

16 OEHHA STAFF TOXICOLOGIST DODGE: You mean the
17 severity categories?

18 PANEL MEMBER BLANC: Not just the subjective, but
19 the objective evaluations. Also, the point estimate at
20 five milligrams is always higher, you know, for the
21 blinking, for the -- you know, the nasal resistance goes
22 right up in step. I mean, isn't that -- couldn't you do
23 some kind of high square for trend or something?

24 PANEL MEMBER GLANTZ: Yeah. See, the other
25 problem is if you look at -- I sort of glommed onto this

1 table, because it was something I kind of understood.

2 But the other thing is you were doing a
3 parametric analysis of variance on this data is not a good
4 idea, because if you look at their Table 1 like at the eye
5 irritation for five milligrams per cubic meter, there's a
6 mean of 0.38 and a standard deviation of 0.4.

7 Well, if you've got a normally distributed
8 population, most of it is about plus or minus two standard
9 deviations about the mean. So that means the score on
10 this that's implied is going from minus 0.4 to something.
11 Well, it can't be negative.

12 So what that's telling you is this is a skewed
13 distribution. It's got a big upward tale. And that's
14 going to inflate the variance and reduce the power. So I
15 think that the -- I don't think doing a parametric ANOVA
16 on this makes sense, in the first place.

17 OEHHA STAFF TOXICOLOGIST DODGE: No. That's what
18 the authors, did right?

19 PANEL MEMBER GLANTZ: Well, that -- lots of
20 people make lots of mistakes. But that mistake could mask
21 a significant effect. So I mean I think if you want to
22 use this, somehow you've got to get the data so you can
23 redo the analysis properly using non-parametric methods.

24 CHAIRPERSON FROINES: Stan, can I -- I would like
25 to -- we're in a slight disarray. What I want to do is to

1 go back to the last speaker, let him finish, and continue
2 around the room, rather than having everybody just
3 climbing in. Paul, are you finished or what are you --

4 PANEL MEMBER BLANC: Well, I think there's two
5 other salient things that are going to come up. One is
6 the issue of this is an irritant, ergo it's going to
7 aggravate asthma and children have more asthma, therefore
8 this is important for children. And I know that you've
9 used that argument with, I think, formaldehyde or certain
10 other things.

11 I think your argument could be strengthened to
12 the extent that there may be other human health effects of
13 this chemical which might also be relevant to children,
14 for example, a chemical being seizurogenic. And also I
15 think the issue that you haven't clarified as to whether
16 or not this is a sensitizer is relevant.

17 Now, the literature on the relationship between
18 things that cause contact dermatitis and cause asthma is
19 murky.

20 I wonder whether or not you want to bring, even
21 though I think you get these in a different agency --
22 Dennis Shusterman, he's not with you guys anymore, right?

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
24 CHIEF MARTY: He's at CDPH.

25 PANEL MEMBER BLANC: But whether or not you might

1 want to bring him into consult on some of these. He
2 certainly has a lot of expertise on rhinometry and
3 interpretation of rhinometry data, but the whole sensory
4 irritant thing. He has some interest in contact
5 dermatitis as well.

6 And then I'm happy to turnover my notes to you
7 too so you have them, and to sort of serve as a kind of
8 lead person, since there wasn't one designated. But I do
9 think that this needs a lot of work. And I think that
10 some of the critiques that you received, we don't go into
11 the outside critiques, but they would partially be
12 addressed by taking care of some of these things.

13 There, finished.

14 CHAIRPERSON FROINES: OEHHA.

15 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

16 CHIEF MARTY: Yeah, so I heard Paul volunteer to be a lead
17 on the chemical. And I'd be happy to get your comments.

18 CHAIRPERSON FROINES: Do you have it in writing,
19 Paul?

20 Yes.

21 Okay, let's move on.

22 PANEL MEMBER GILL: I have only two comments.

23 One, actually, I was concerned about the quality
24 of the data, because there's very little that's recent. I
25 know you are in a problematic situation and there may not

1 be much, but I think there has to be a more conscious
2 effort to try to get at least more recent data, because
3 there is data, and Paul has addressed some of the issues.

4 The other one, I was concerned about the sensory
5 data, because to me the sensory data is very difficult to
6 translate into a physiological response. I know you have
7 a lack of it and you're trying to do it again. That's an
8 issues that I don't know how to resolve. But it's a
9 critical issue, because a lot of times behavioral
10 responses is a physiological endpoint is what you want in
11 terms of developing some of the NOEL and all that. I
12 think that maybe more relevant. So I really don't know
13 how to resolve that issue, because I don't -- the absence
14 of data, sensory data, to me is not particularly useful,
15 unless as -- in one of the measurements there's a
16 physiological measurement. And it's all of this
17 behavioral, and behavioral becomes difficult in the
18 absence of control studies with this.

19 That's all I have.

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
21 CHIEF MARTY: I mean, part of the issue we have is just
22 the amazing lack of data on this compound.

23 PANEL MEMBER GILL: I am concerned, for example,
24 even there's no understanding of even the primary
25 metabolites, you know. Seventy percent of the dose is a

1 primary metabolite, unidentified.

2 CHAIRPERSON FROINES: In terms of action items
3 from each person, is there an action item that you would
4 like them to take up?

5 PANEL MEMBER GILL: I would like to have a more
6 comprehensive report from them. And if it addresses
7 Paul's concerns, I think it will address my concerns.

8 CHAIRPERSON FROINES: It will, okay.
9 Next person.

10 PANEL MEMBER ARAUJO: Yeah. I don't really have
11 much more to add. Other than I was struck by the comment
12 that the severity and the magnitude of the effects are
13 even much, much greater than what is described here. And
14 if that is the case, you know, that it can lead to CNAs or
15 it could lead to seizures. And this is something that is
16 not even mentioned in here, so that changes dramatically
17 the scope or the spectrum. So that's certainly something
18 that would need to be included.

19 From this review, it appeared to me that it
20 actually was so trivial and probably irrelevant, and that
21 all the comments that the company were making were
22 probably okay. I mean, this compound causes mild issues
23 in eye or upper respiratory irritations. So maybe that's
24 not really that important.

25 But if we're talking about some more serious

1 effects, that's a whole different story. So I think that
2 that needs to be included.

3 So that's all.

4 CHAIRPERSON FROINES: Okay. Just a couple
5 comments. First, I don't see why you said that this is a
6 direct acting chemical and none of the metabolites have
7 any relevance, because I don't think that's true.

8 There's no reason to believe -- not to believe
9 that some of these -- for example, this lactone or the
10 hexanoic acid aren't going to have respiratory effects.
11 And so what I'm -- let me go back to where I should have
12 started.

13 Where I should have started was to agree with
14 Paul that the problem with the document is at one level in
15 terms of the details, but at another level is in terms of
16 the document. It's simply not full enough to -- I think
17 it needs -- it's not entirely adequate. And so I'll say
18 it that way.

19 And I just -- maybe I'm wrong here, but I looked
20 at this two-year caprolactam carcinogenesis bioassay, and
21 I --

22 OEHHA STAFF TOXICOLOGIST DODGE: NTP.

23 CHAIRPERSON FROINES: And I went through it. And
24 it's basically three paragraphs -- or two paragraphs, but
25 there is no conclusion or no data, period, on the issue.

1 So how do we know what was found?

2 OEHHA STAFF TOXICOLOGIST DODGE: Well, I believe
3 there was -- they didn't find anything of real
4 significance, except maybe a decrease in body weight, but
5 they didn't even -- you know, back then, they didn't even
6 really try to make any statistical analysis on the body
7 weight effect.

8 CHAIRPERSON FROINES: Well, okay. Okay. But I
9 think that if you're going to do a two-year bioassay, you
10 should write something in here about the results of that
11 bioassay. And if you have to write and say there was
12 absolutely nothing found, and show the -- but you can show
13 a table which shows the dosages and the number of cancers.
14 In other words, this gives you absolutely nothing.

15 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
16 Okay. We can add that.

17 CHAIRPERSON FROINES: Paul's already -- I found
18 all the respiratory' --

19 OEHHA STAFF TOXICOLOGIST DODGE: May I inject
20 here that I wrote here that the histopathologic
21 examination did not find any compound related fix in nasal
22 tissues, larynx, esophagus, stomach or any other tissues
23 or organs.

24 PANEL MEMBER BLANC: Yes, I mean, I think John's
25 point is that there's a sentence missing, just a final

1 sentence, thus there was no evidence of carcinogenicity in
2 this two-year study.

3 OEHHA STAFF TOXICOLOGY DODGE: Okay.

4 CHAIRPERSON FROINES: Look it, you can have nasal
5 tissues, larynx, esophagus, and stomach, but that doesn't
6 tell you what the endpoint you're measuring is. It
7 doesn't necessarily mean cancer. It could be hyperplasia.

8 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

9 CHAIRPERSON FROINES: I think there's more to
10 caprolactam in a respiratory sense than we are getting
11 actually. And I think that you probably can't get it
12 either. But I don't think caprolactam is quite as benign
13 as this seems to indicate, but that's just a general
14 statement and I'll leave it.

15 This person Gad in developmental and reproductive
16 toxicity, where is he from?

17 OEHHA STAFF TOXICOLOGIST DODGE: I don't recall.

18 CHAIRPERSON FROINES: Melanie?

19 OEHHA STAFF TOXICOLOGIST DODGE: I don't have the
20 paper with me today.

21 CHAIRPERSON FROINES: Well, I think that there
22 may be nothing here, but I found that whole section of
23 developmental and reproductive toxicity to be vague. And
24 I don't know whether that's the lack of data. I don't
25 know whether it's an industry study. I don't know whether

1 there's nothing there.

2 But I basically found that there were little
3 findings here and little findings there and little
4 findings everywhere, and yet I don't have a sense that one
5 feels confident in the evaluation.

6 And I assume that if you had more, you'd say
7 more. But when you get into saying, yeah, there's -- the
8 primary finding of the two developmental reproductive oral
9 exposure studies was that caprolactam may be fetotoxic due
10 to reduced fetal body weight. Is that all we're going to
11 get out of this, I mean that's --

12 OEHHA STAFF TOXICOLOGIST DODGE: That was pretty
13 much it for the findings.

14 CHAIRPERSON FROINES: What?

15 OEHHA STAFF TOXICOLOGIST DODGE: That was pretty
16 much it for the findings. I mean, the reason I think I
17 say maybe is because there was also a decrease in the
18 maternal weight as well.

19 CHAIRPERSON FROINES: Well, I think that
20 one -- I'm not sure, because I'm stuck without having read
21 the papers. And so I don't know whether to just accept it
22 as is or to argue that it would be nicer to see something
23 more spelled out and definitive.

24 OEHHA STAFF TOXICOLOGIST DODGE: Well, I can
25 certainly do that. In fact, I can have some of our repro

1 developmental people take another independent look at this
2 and make sure it's much more clear.

3 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:

4 Yeah, and we can certainly put in tables from the
5 paper, if you'd like.

6 CHAIRPERSON FROINES: Well, I think it's just too
7 soft spoken for me anyway. So I'll stop.

8 PANEL MEMBER NAZAROFF: John, just as a point
9 while you were raising your questions, I looked up the
10 paper buy Gad. And affiliations is Department of
11 Toxicology, Allied Corporation, Morristown, New Jersey.

12 PANEL MEMBER BLANC: That's the industry
13 manufacturer.

14 CHAIRPERSON FROINES: So we have -- that's the
15 trouble we have.

16 PANEL MEMBER BLANC: But it is published.

17 PANEL MEMBER NAZAROFF: It's published in the
18 Journal of Applied Toxicology.

19 PANEL MEMBER BLANC: Right, okay.

20 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
21 If it's a peer reviewed journal article, we
22 don't take into account it was produced by industry or
23 not. We just evaluate the study.

24 CHAIRPERSON FROINES: I understand that.

25 PANEL MEMBER GLANTZ: Well, you know, there is

1 nice developed --

2 CHAIRPERSON FROINES: Wait, wait, wait, wait,
3 Stan. If you don't mind, I'm still the person on talking.

4 PANEL MEMBER GLANTZ: I thought you were done.

5 CHAIRPERSON FROINES: I think that that's a
6 dilemma, because we know that there are now in the field
7 journals that reflect biases, and that were stuck between
8 good journals and journals that are developed for purposes
9 that are not necessarily legitimate, or what am I trying
10 to say --

11 PANEL MEMBER NAZAROFF: Not in the public.

12 CHAIRPERSON FROINES: Integrity is problematic.
13 So I guess we just have to go with that. But it's not
14 so -- all I'm saying is that today, to say something is
15 peer reviewed is different than it used to mean peer
16 reviewed. And it's not the same. And that's a crisis
17 that's beyond this particular little compound.

18 PANEL MEMBER GLANTZ: But I do think -- just
19 to -- I do think though that that's something you need to
20 consider. And there is a pretty well developed literature
21 now in biomedical stuff about biases associated with
22 industry sponsorship, which, you know, Lisa Bero at our
23 place is a big expert on that.

24 So I do think you need to take that into account
25 when assessing these results, especially when you're

1 talking about negative results.

2 CHAIRPERSON FROINES: Well, you know -- everybody
3 here, at least who's been on for awhile, knows that we
4 have had problems through -- especially throughout our
5 review of pesticide documents where they reflect the
6 orientation of the manufacturer of the pesticide.

7 So it's something that we need to be aware of,
8 even though there's not much you can do about it.

9 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
10 Okay.

11 CHAIRPERSON FROINES: I think.

12 PANEL MEMBER HAMMOND: I think another piece of
13 some of that is it's important in all cases to make sure
14 you look at the data in the paper as opposed to just the
15 discussion in the paper. And even in some of the comments
16 through here, there are comments about well there's no
17 trend. When I look at it, I do see a trend. It's
18 monotonic. I'm not saying it's statistically significant,
19 but it's monotonic.

20 And I think when it's monotonic consistently as
21 in Table 1 for every single of the effects, there's not a
22 single coming down. You know, that's -- I mean, there's
23 something to that.

24 In the case of the reproductive, the statement is
25 in your document, "Every generation consistently lower

1 mean body weights and food consumption were observed in
2 both the P2 and P3 parental generations".

3 You know, I mean, I think that that's actually
4 the kind of thing we pay attention to as a reproductive,
5 but it's showing up in later generations, if not in the
6 first generation. And maybe -- I don't -- you know, I
7 haven't looked at the paper itself. But, you know, I
8 would say maybe that's more significant than just being --
9 it's passed over and kind of lost later. It doesn't come
10 back.

11 Now, again, I'm not a toxicologist, so I would
12 defer to the toxicologist on the Committee for how to
13 think about those things, but it almost looks like there's
14 things showing up in here that they're not huge, but maybe
15 they're significant in their outcome. And I suspect that
16 the paper may have tended to bypass it in the discussion,
17 but not talk about it, but it is there in the results.

18 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, the
19 problem with some of those studies is that they're feeding
20 studies, and they're trying to feed caprolactam in feed
21 and so they don't like the taste. They don't eat as much.
22 They lose weight. And then ultimately that affects the
23 fetus as well.

24 PANEL MEMBER HAMMOND: Well, actually this is a
25 dietary thing.

1 OEHHA STAFF TOXICOLOGIST DODGE: Now --

2 PANEL MEMBER HAMMOND: Dietary, right, in one of
3 the findings. A human study was diet to lose weight,
4 right. So, yeah, I know. I don't quite know how to think
5 about it.

6 PANEL MEMBER GLANTZ: Maybe I should try it.

7 (Laughter.)

8 PANEL MEMBER HAMMOND: Well, but my point -- what
9 brought my point here is that these were the subsequent
10 generations, so that -- and it didn't show up in the first
11 generation. It showed up in the subsequent generations.
12 And I'm not sure how to interpret that, but I take that as
13 like it raises some questions in mind, that we should look
14 at that more carefully and think about that. And I would
15 really defer to the toxicologist again as to what to do
16 with that.

17 CHAIRPERSON FROINES: I'd just wanted to follow
18 up before, and I won't hold Stan up. I just wanted to
19 agree with Kathy because she said sort of the same things
20 I was saying. I think you guys should look at that
21 reproductive section, because I don't think it's fully
22 developed as much as it could.

23 I think that her point about Table 1 is also
24 worth thinking about. And so I think there is -- and the
25 cancer thing is not developed. I think that the document

1 needs further development to give us some confidence in
2 what we're reading.

3 PANEL MEMBER HAMMOND: And then to continue, I
4 want to reemphasize some of Bill's comments about knowing
5 what phase we're talking about, what it is that's actually
6 there, that is --

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
8 CHIEF MARTY: I'm sorry. He was asking about John's
9 comment. Sorry.

10 PANEL MEMBER HAMMOND: I should have waited. I
11 apologize.

12 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
13 CHIEF MARTY: So I'm sorry, you'll have to start again,
14 because I was not focusing on what you were saying.

15 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, sorry. We
16 apologize.

17 PANEL MEMBER HAMMOND: Do you want to finish?

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
19 CHIEF MARTY: We're done.

20 PANEL MEMBER HAMMOND: I just wanted to
21 reemphasize Bill's point about being aware. We talk about
22 a vapor phase has a condensed, is it in the particulate
23 phase. And at least some consideration as to how that
24 might affect how we interpret what's going on.

25 And furthermore, another way to look at that is

1 how do -- do the authors of the study, have they thought
2 about what's actually going on. Are they measuring it
3 appropriately? Again, I haven't read the papers. I don't
4 know.

5 And I think there was an indication that some of
6 the occupational studies are based on area samples rather
7 than personal samples. You did reflect that in what you
8 wrote, but I think that that's important to the degree
9 that it's possible to figure out whether that means it's
10 an underestimate. Quite usually that's an underestimate
11 of actual exposure, but it may be an over estimate. It
12 depends on how it's being used.

13 And I would like to see -- again, I go back to
14 the other issue that people brought up to know what degree
15 this material is in common usage, since, you know,
16 conventionally there's this thing out there about carpets,
17 you know, causing indoor air problems. And I don't know
18 enough about this area, but is this actually really one of
19 those problems? You know, we should look at that a little
20 more.

21 CHAIRPERSON FROINES: Just one comment here.
22 Stan is next, but Ellen isn't --

23 PANEL MEMBER GLANTZ: No, I'm done. I've said
24 what I want to say.

25 CHAIRPERSON FROINES: Ellen is the new member and

1 so I'm going to defer to her and then Stan can come back.

2 PANEL MEMBER GLANTZ: Well, I think I've said
3 what I have -- I have a couple other suggestions.

4 PANEL MEMBER HAMMOND: Well, there's Alan.

5 CHAIRPERSON FROINES: What did I say.

6 PANEL MEMBER HAMMOND: You said Ellen, but
7 there's Alan and Ellen both.

8 PANEL MEMBER GLANTZ: I have a couple other sort
9 of technical suggestions, but I can wait till later. I
10 can give it to them off the record.

11 PANEL MEMBER HAMMOND: You've got two more people
12 after Stan.

13 CHAIRPERSON FROINES: I know. I have Stan to my
14 dismay.

15 PANEL MEMBER GLANTZ: No, I'm done. I don't have
16 anything more to say.

17 PANEL MEMBER HAMMOND: And Ellen and Alan.

18 CHAIRPERSON FROINES: Ellen.

19 PANEL MEMBER EISEN: I don't have much to say,
20 but I am sort of a little taken aback at the harshness of
21 the criticisms. I guess, I thought -- I mean, these data
22 are pretty weak. I mean, they are sort of pathetic. And
23 I sort of felt they had -- that this is what they had to
24 look at.

25 And if it turns out there's actually some more

1 Russian data from the 1950s or case reports, you should --
2 you know, it would be nice to include those and some of
3 the other pieces of information that would be useful, I
4 think, to build the case, just a little description on how
5 common exposure is and that kind of thing.

6 But basically, I thought it was a sad -- I mean,
7 you were working some sad data. And, you know, there
8 wasn't that much to say about it, and I thought you did
9 what you could do. I didn't think it was so bad. But I
10 don't know the other literature, so you know -- or that
11 that's out there.

12 CHAIRPERSON FROINES: But I think that you
13 should -- what happens is when Paul says what he says, you
14 can take it with a little grain of salt, because he's
15 close friends with the people who he's making the
16 criticism.

17 PANEL MEMBER EISEN: I see. I didn't know that.

18 CHAIRPERSON FROINES: So we can -- it's not what
19 you're hearing.

20 Stan

21 PANEL MEMBER GLANTZ: No, I'm fine. That's just
22 the way Paul is with everybody.

23 (Laughter.)

24 PANEL MEMBER EISEN: I'd crumble in a minute.

25 (Laughter.)

1 PANEL MEMBER GLANTZ: Actually, he was in his
2 warm and cuddly moment.

3 (Laughter.)

4 CHAIRPERSON FROINES: Stan move --

5 PANEL MEMBER BLANC: No body cried. I don't know
6 what you're making such a big deal.

7 (Laughter.)

8 CHAIRPERSON FROINES: Stan move on.

9 PANEL MEMBER BLANC: Stan is done.

10 PANEL MEMBER GLANTZ: I'm done.

11 CHAIRPERSON FROINES: My friend.

12 PANEL MEMBER BUCKPITT: Sure. So I looked at my
13 concerns were with Table 3, and whether this group, the
14 Reinhold group, was dealing with animals that were not
15 healthy. How can you have inflammation in all of your
16 control animals.

17 PANEL MEMBER EISEN: Well, they were the people
18 too.

19 PANEL MEMBER BUCKPITT: Well, but, you know, in
20 the zero exposed group, that high numbers of animals that
21 were affected. If you go back to the NTP, were those the
22 F344s or were these Sprague-Dawley?

23 OEHHA STAFF TOXICOLOGIST DODGE: These were
24 Sprague-Dawley, I believe.

25 PANEL MEMBER BUCKPITT: But again, historically

1 one in a clean colony would not expect to see that.

2 PANEL MEMBER BLANC: But isn't it all zero out of
3 20?

4 PANEL MEMBER BUCKPITT: No, no, no. Go back to
5 the original table.

6 OEHHA EXPOSURE MODELING SECTION CHIEF BLAISDELL:
7 We would really love to have some good data on
8 this.

9 PANEL MEMBER HAMMOND: I'd like to hear Alan on
10 this.

11 CHAIRPERSON FROINES: Hey, hey, hey, hey, hey,
12 folks.

13 PANEL MEMBER BLANC: Sorry.

14 OEHHA STAFF TOXICOLOGIST DODGE: Right, I --

15 CHAIRPERSON FROINES: Buckpitt is talking.

16 PANEL MEMBER BUCKPITT: Well, I think Daryn may
17 have an answer to it.

18 Again, my concern was that you subtracted all of
19 that, Daryn. It may be such bad data, that you don't want
20 to deal with it. And you may disagree with me, but I
21 looked at it and said geeze you can't do anything with
22 this. The controls are all screwed up.

23 OEHHA STAFF TOXICOLOGIST DODGE: Right. That was
24 what was happening in the nasal cavity, the olfactory and
25 respiratory tissue. Yeah, they were --

1 PANEL MEMBER BUCKPITT: So the only thing you
2 really have then is the --

3 OEHHA STAFF TOXICOLOGIST DODGE: The exacerbation
4 due to caprolactam that increased the severity of that
5 dose responses in the nasal cavity, right. But the
6 laryngeal --

7 PANEL MEMBER BUCKPITT: If they were virally
8 infected, okay, you don't know what you have.

9 PANEL MEMBER BLANC: But the laryngeal effect
10 wasn't subtracted, isn't that what you used as your
11 endpoint?

12 OEHHA STAFF TOXICOLOGIST DODGE: That's correct,
13 yeah.

14 PANEL MEMBER BLANC: So then maybe what you
15 should do conservatively is say we didn't use the nasal
16 data because of the high baseline. We used laryngeal,
17 which didn't have a baseline. But even if we had used
18 laryngeal and subtracted the background incidence, the
19 actual number would have been lower anyway, rather than
20 clutter --

21 PANEL MEMBER BUCKPITT: You're still dealing with
22 infected animals. That is not a --

23 CHAIRPERSON FROINES: I'm confused.

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

25 CHIEF MARTY: Yeah. Can I step in here for a second. We

1 can only use the data that are available.

2 PANEL MEMBER BUCKPITT: You were handed a bad --

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

4 CHIEF MARTY: And we recognize all of these things. We
5 frequently have data where -- for not just this particular
6 endpoint from many endpoints where, for example, renal
7 degeneration is common in older animals.

8 If we have an exposed group and a control group,
9 knowing that the control group is going to get renal
10 degeneration, if it's exacerbated by the chemical
11 exposure, we still consider that as an effect and we have
12 done dose response, subtracting out control incidence.

13 I think what you're saying, Alan, is that your
14 concern is that you shouldn't have seen that in the
15 control Sprague-Dawley.

16 PANEL MEMBER BUCKPITT: This maybe a purely bad
17 study.

18 CHAIRPERSON FROINES: I'm sorry, but I don't
19 understand what you're saying in terms of the control
20 group on Table 3.

21 PANEL MEMBER BUCKPITT: Sorry. So Table 3 as
22 it's presented in the report, all of the background data,
23 John, has been subtracted. So that zero out of 20 was
24 the -- after they subtracted from the -- I'm sorry, the 4
25 out of 20 on the 24 milligram per cubic meter dose. They

1 had subtracted all of the control data that was also
2 positive.

3 CHAIRPERSON FROINES: Okay.

4 PANEL MEMBER HAMMOND: Even though it says zero
5 in the --

6 PANEL MEMBER BUCKPITT: Yeah.

7 PANEL MEMBER HAMMOND: That's what's confusing to
8 me. I just don't understand that.

9 PANEL MEMBER BUCKPITT: Is that right?

10 OEHHA STAFF TOXICOLOGIST DODGE: Yeah. Well, if
11 I were to present the data as it was in the paper, in the
12 control group we would have 18 out of 20 affected.

13 PANEL MEMBER HAMMOND: Really?

14 PANEL MEMBER BUCKPITT: When you have 18 out of
15 20 of your control animals affected, you probably have
16 infected animals.

17 PANEL MEMBER HAMMOND: And you don't have the
18 power to observe an effect.

19 PANEL MEMBER BUCKPITT: Bingo.

20 CHAIRPERSON FROINES: Were you -- are you getting
21 this data from the paper?

22 PANEL MEMBER BUCKPITT: No. I read it here. And
23 then if you go back to some of the comments on page --

24 CHAIRPERSON FROINES: Okay, never mind. That's a
25 good enough answer.

1 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

2 CHIEF MARTY: We did base it on the laryngeal data, and
3 those controls did not show any incidence of degeneration.

4 CHAIRPERSON FROINES: So, Melanie, the point
5 being -- I'll come right back to you. The point being is
6 that if we had to go on the document you prepared, and he
7 hadn't read the comments, we wouldn't know about this
8 problem.

9 PANEL MEMBER BUCKPITT: No. No, I didn't say it.
10 It's explained in there.

11 PANEL MEMBER HAMMOND: No. It's hinted in here,
12 but you can't figure it out.

13 PANEL MEMBER BUCKPITT: It's honestly presented.

14 CHAIRPERSON FROINES: Well, maybe you think so,
15 but I think it could be -- let's just say --

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

17 CHIEF MARTY: Clearer.

18 CHAIRPERSON FROINES: It could be improved.

19 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

20 CHIEF MARTY: It could be clearer. And we will add in
21 more of the data tables from the papers that we cite to
22 make it very clear what it is we did.

23 PANEL MEMBER ARAUJO: I have a question. So are
24 we clear about that, that the data is subtracted or are
25 you just assuming or you're proposing --

1 PANEL MEMBER BUCKPITT: We're clear about it that
2 it is subtracted.

3 PANEL MEMBER ARAUJO: But so how about the nasal,
4 olfactory, mucosa four week recovery. So there are two
5 out of 20. That's not a zero.

6 PANEL MEMBER BUCKPITT: Sorry, where are we?
7 Nasal, four week recovery, two out of 20.

8 PANEL MEMBER EISEN: Subtract that one.

9 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, I should
10 probably clear that up. I think I'm going to -- I'll have
11 to clear that up.

12 PANEL MEMBER BUCKPITT: But most of it, again the
13 controls were at such high incidence levels that you're
14 dealing with noise.

15 PANEL MEMBER HAMMOND: There's just no room to
16 see anything.

17 CHAIRPERSON FROINES: That's good. That's really
18 very good that we identified that.

19 Does anybody else from the Panel have further
20 comments? Because at this point, we know what we're going
21 to do and that is that OEHHA is going back and work on
22 improving the document, using the comments that they've
23 heard today, but most importantly Paul's written comments.
24 And then they're going to come back at our next meeting
25 with a new document.

1 Bill.

2 PANEL MEMBER NAZAROFF: Yeah. So I just want to
3 weigh in on a couple of points about while, you know, I
4 appreciate the critique, and I like probably somewhere
5 between Paul and Ellen in terms of my sense of how I feel
6 about what was presented here, which leaves a lot of room,
7 right.

8 I want to reinforce what I think is the
9 importance of addressing this chemical. And let me make
10 two points on that.

11 First, while we're going around the table, I
12 looked up on the U.S. EPA's inventory update reporting
13 site, which is where they compile national chemical
14 reporting data from manufacturers ostensibly to give us a
15 sense of exposure potential. It doesn't really have that
16 much utility there, but at least it gives you a sense of
17 the economywide utilization.

18 And among other things listed here for this
19 chemical are that aggregated production volume in the
20 United States is one billion pounds per year or greater.
21 And that's as of 2006. So it's still a big deal chemical
22 in our economy.

23 And when you read down, there's some additional
24 information there about the nature of uses. It includes
25 the manufacture of Nylon 6, but it's also used in some

1 adhesives

2 CHAIRPERSON FROINES: Can you send OEHHA an email
3 to that Effect.

4 PANEL MEMBER NAZAROFF: I'll send the link,
5 absolutely.

6 So the other point that I wanted to make in this
7 context is I don't know whether I should get credit for
8 inventing this term or if I just rediscovered it. But the
9 notion of exposure intimacy as a way of characterizing the
10 proximity part of the story between a source of a chemical
11 and humans who might come into contact.

12 So direct smoking, of course, is among the most
13 intimate exposure opportunities that you have for the --
14 and environmental tobacco smoke not far behind. Whereas,
15 emissions from, you know, a ship that's traversing the
16 Pacific Ocean for the general population doesn't have
17 anywhere near that kind of exposure intimacy.

18 With a chemical like this that's heavily used in
19 the manufacturing of a polymer, that then ends up in
20 kilogram or, let's say, tens to hundreds of kilogram
21 quantity in our homes, and I presume in our offices, and
22 we have children who we're worried about as a susceptible
23 population, who are crawling around on carpet and we're
24 concerned about monomer that didn't get bound up in the
25 polymer in the manufacturing process escaping -- and I'm

1 not convinced, by the way, by what I've read by some of
2 the outside commentators, that this is only a short-term
3 issue. That it's only acute. You know, the carpet is
4 manufactured. It off-gases for a few weeks and then no
5 issue.

6 All of those things suggest to me that this is,
7 from an exposure potential point of view, a chemical that
8 definitely is worthy of our attention.

9 CHAIRPERSON FROINES: Good. Good.

10 I would even argue that the metabolism of this
11 compound is not fully developed.

12 PANEL MEMBER HAMMOND: Eighty percent is
13 undetected.

14 PANEL MEMBER GLANTZ: So are we done?

15 CHAIRPERSON FROINES: Before he just spoke, I
16 said -- I laid out what the next stages were. And then he
17 made comments. And so, at this point, I'll ask the
18 question again, are we finished for the day?

19 PANEL MEMBER HAMMOND: Do you want to schedule
20 future meetings?

21 CHAIRPERSON FROINES: And is that okay with
22 OEHHA?

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
24 CHIEF MARTY: Sure.

25 PANEL MEMBER ARAUJO: Can I make one last

1 comment.

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: No, I want to be beat up some more.

4 CHAIRPERSON FROINES: Jesús wants to make one
5 last comment.

6 PANEL MEMBER ARAUJO: I don't know if it is
7 something that can be included or added or it will be
8 against any rules of making these reports. But if one of
9 the issues is that the data is sloppy is absent or is just
10 not of good quality, couldn't that be said in the report
11 or in your analysis or it could be too much of a judgment
12 call from who is making the report and that cannot be
13 mentioned?

14 CHAIRPERSON FROINES: Let me just answer that
15 question for you. In my view -- and Melanie can tell me
16 I'm wrong. In my view, if one -- if OEHHA wants to write
17 something critical, as you've just said it, it's their --
18 it's up to them. And they have to decide the politics of
19 what they write. And so it's not up to the Panel. I
20 guess we can say that the Panel pretty much reflected your
21 point of view, but whether you want to write something to
22 that degree is the decision of OEHHA. You can write
23 anything you want.

24 PANEL MEMBER BLANC: Well, I think the other
25 point is that they have options, if they wish, to put in

1 additional uncertainty factors in their calculations, to
2 the extent that the database as a whole induces them to do
3 that.

4 And so if they were to comment strongly on the
5 deficiencies of the database, then one would expect to see
6 that kind of further uncertainty factor in the risk
7 calculations to be consistent, were that to be their
8 assessment.

9 CHAIRPERSON FROINES: I think that's really
10 important, Paul. And that, Melanie, that if one is going
11 to make significant criticisms, there should probably be
12 some outcome from that conclusion, so it doesn't sound
13 sour grapes.

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
15 CHIEF MARTY: Okay. Well, you know, I think we will go
16 back and put in a lot more detail on the studies that we
17 have in there and then add in the case studies. We did
18 look at the English review of the Russian studies.

19 PANEL MEMBER BLANC: Which was pretty negative.

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
21 CHIEF MARTY: But we could put in -- you know, we could do
22 our best to cull what we can from the Russian studies and
23 put those in there, and the case reports that Paul found.

24 We will also look at the apparent submission to
25 EPA from the industry. So I'll get my hands on that. It

1 has old, old, old, data. It's older than me.

2 CHAIRPERSON FROINES: Now, this is usually when
3 Paul says, "John, you forgot to take a vote on closing the
4 meeting".

5 But he's so far away, he can't remind me to do
6 that. So I'll remember to do it. And I'll ask for a
7 motion to close the meeting.

8 PANEL MEMBER GILL: So moved.

9 PANEL MEMBER HAMMOND: Second.

10 CHAIRPERSON FROINES: And all those in favor?

11 (Ayes.)

12 CHAIRPERSON FROINES: It's unanimous.

13 (Thereupon the California Air Resources Board,
14 Scientific Review Panel adjourned at 4:34 p.m.)

15

16

17

18

19

20

21

22

23

24

25

