

TELECONFERENCE MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
AIR RESOURCES BOARD  
SCIENTIFIC REVIEW PANEL  
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY  
COASTAL, 2ND FLOOR  
1001 I STREET  
SACRAMENTO, CALIFORNIA

UNIVERSITY OF CALIFORNIA, SAN FRANCISCO  
KALMANOVITZ LIBRARY, SUITE 366  
530 PARNASSUS AVENUE, SAN FRANCISCO, CA 94117

FRIDAY, FEBRUARY 6, 2015

9:25 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson

Cort Anastasio, Ph.D.

Jesús A. Araujo, M.D., Ph.D.

Paul Blanc, M.D.(via teleconference)

Alan R. Buckpitt, Ph.D.

Sarjeet S. Gill, Ph.D.

Stanton A. Glantz, Ph.D(via teleconference)

S. Katharine Hammond, Ph.D.(via teleconference)

Beate R. Ritz, M.D., Ph.D.

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. Melanie Marty, Assistant Deputy Director, Division of  
Scientific Affairs

Dr. John Budroe, Chief, Air Toxicology Risk Assessment  
Section

Dr. Daryn Dodge, Acting Chief, Air, Epidemiology and Risk  
Assessment

I N D E X

PAGE

1. Review of "Toluene Diisocyanate Reference Exposure Levels" - SRP Draft (November, 2014) and "Methylene Diphenyl Diisocyanate Reference Exposure Levels" - SRP Draft (November, 2014) 1

After receiving an introduction by the Office of Environmental Health Hazard Assessment (OEHHA) staff at its last meeting, the Panel will review the proposed reference exposure levels (RELs) for toluene diisocyanate (TDI) and methylene diphenyl diisocyanate (MDI). These two documents summarize the toxicity and the derivation of proposed the acute, 8-hour, and chronic RELs. RELs are airborne concentrations of a chemical that are not anticipated to result in adverse noncancer health effects for specified exposure durations in the general population, including sensitive subpopulations.

OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360 (b)(2)). In response to this statutory requirement, OEHHA adopted in 2008 a Technical Support Document that describes the derivation of acute, 8 hour and chronic noncancer RELs. This guideline has been used to develop the RELs for both TDI and MDI. After the Panel's review the two documents will be finalized and will be added to Appendix D of the Technical Support Document.

2. Consideration of administrative matters. 118

The Panel may discuss various administrative matters and scheduling of future meetings.

Adjournment 125

Reporter's Certificate 126

## 1 P R O C E E D I N G S

2 CHAIRPERSON KLEINMAN: Good morning. I'm Mike  
3 Kleinman. I'm the Chair of the Scientific Review Panel,  
4 and I want to welcome everybody to this meeting. Starting  
5 a little bit late, but unfortunately that's technical life  
6 in this country.

7 We have around our table Drs. Jesús Araujo, Cort  
8 Anastasio, Beate Ritz, Alan Buckpitt and Sarjeet Gill.  
9 And for the record, I would like the people on the phone  
10 to just tell us who you are, so if you'd go ahead and do  
11 that, please.

12 PANEL MEMBER GLANTZ: Well, at UCSF we have Kathy  
13 Hammond, Paul Blanc, and Stan Glantz.

14 PANEL MEMBER HAMMOND: And could you introduce  
15 yourself, please.

16 Come over here so they can hear your.

17 And also a visitor.

18 MS. ASHLEY-SUTHERLAND: Kate Ashley-Sutherland.

19 PANEL MEMBER HAMMOND: She's from OEHHA she says.  
20 You guys must know her.

21 CHAIRPERSON KLEINMAN: Okay. Thank you.

22 The goal for this meeting today is going to be to  
23 review two REL documents. And the first one will be  
24 toluene diisocyanate reference exposure levels, and that's  
25 SRP draft dated November 2014. Following that, we'll

1 discuss the methylene diphenyl diisocyanate reference  
2 exposure levels.

3           The reference exposure levels were developed  
4 using risk assessment methodologies for developing RELs  
5 under the Air Toxics Hot Spots Program that OEHHA has  
6 developed -- or -- OEHHA we has developed. They've  
7 produced acute 1-hour, 8-hour repeated exposure, and  
8 chronic RELs for both compounds. The documents have  
9 undergone public review, and OEHHA has responded to the  
10 comments to that public review.

11           Today, we're going to discuss the RELs for the  
12 two compounds. We'll hear a presentation from OEHHA about  
13 the derivation of the RELs, and then a discussion of the  
14 responses to the public comments, following which the  
15 Panel members will have an opportunity to raise any other  
16 questions that they might have.

17           The leads for the discussion for the Panel will  
18 be Drs. Buckpitt and Gill. So I think we should begin  
19 with the presentation on TDI.

20           (Thereupon an overhead presentation was  
21 presented as follows.)

22           DR. BUDROE: Okay. Good morning, Dr. Kleinman,  
23 members of the Scientific Review Panel. My name is Dr.  
24 John Budroe. I'm Chief of the OEHHA Air Toxicology Risk  
25 Assessment Section. And I'd like to present Dr. Daryn

1 Dodge. He'll be presenting -- doing a presentation on the  
2 non-cancer reference exposure level documents for toluene  
3 diisocyanate and methylene diphenyl diisocyanate.

4 Dr. Dodge.

5 DR. DODGE: Thank you, Dr. Budroe.

6 Okay. I'm going to go onto slide number 2,  
7 toluene diisocyanate. I'll just refer to it as TDI.

8 --o0o--

9 DR. DODGE: TDI is used in flexible polyurethane  
10 foams, adhesives, and coatings. It's a high volume  
11 chemical. It's production in each year is over a billion  
12 pounds of product. It's volatile with a vapor pressure of  
13 0.023 millimeters mercury at room temperature. It has two  
14 highly reactive NCO groups, or isocyanate groups, that  
15 when inhaled react with the lung tissue and macromolecules  
16 in lung-lining fluid. It is also known as one of the most  
17 potent low molecular weight sensitizers.

18 --o0o--

19 DR. DODGE: Slide 3. Acute exposure in animals  
20 and humans, you see sensory irritation; eye, nose, throat  
21 irritation; respiratory tract irritation and tissue damage  
22 in animals, and this is dose dependent. In workers, you  
23 can see airways hyperresponsiveness at very high levels.  
24 With chronic exposure, it's a sensitizer via the  
25 inhalation route, as well as the dermal route, and it's





1           Intraspecies toxicokinetic uncertainty factor was  
2 1. This is because the subgroup examined here was a  
3 sensitive subgroup of asthmatics. Intraspecies  
4 toxicodynamic uncertainty factor is root 10 or 3. And  
5 this is to -- this is because we believe that children  
6 could be at increased risk, or especially asthmatic  
7 children.

8           Accumulative uncertainty factor is 30. So  
9 dividing the point of departure of 71 by 30 gets us 2 -- a  
10 rounded 2 micrograms per cubic meter.

11           --o0o--

12           DR. DODGE: Onto the next slide. This is for the  
13 8-hour and chronic RELs. Both the 8-hour and chronic RELs  
14 are based on the same study by Diem et al., 1982. And  
15 this is based on decreased lung function found in TDI  
16 workers. This particular study was a prospective study.  
17 So the workers were followed from the beginning of their  
18 employment at a new facility that manufactured TDI, and it  
19 went on for five years.

20           What they found was the group that was exposed to  
21 an average of 1.9 parts per billion, they found an  
22 accelerated decrease in lung function as measured by FEV1.  
23 The NOAEL group was 0.9 parts per billion. The  
24 sensitizing incidence over the five-year period was 12 out  
25 of 277 workers or 0.9 percent per year. For the 8-hour

1 time adjustment for the 8-hour REL, we simply took a 5-day  
2 over 7-day adjustment, or made a 5-day over 7-day  
3 adjustment. This is because the 8-hour REL is for 7 days  
4 per week, and the workers were exposed for 5 days per  
5 week.

6 The chronic time adjustment included a 10 cubic  
7 meter over 20 cubic meter adjustment. This is in  
8 recognition that the workers working for an 8-hour --  
9 active 8-hour period are going to breathe approximately  
10 half the air they're going to breathe in a day -- in a  
11 full day, which is 20 cubic meters.

12 For both the 8-hour and chronic REL derivation,  
13 we used a subchronic uncertainty factor of root 10. This  
14 is because it's a five-year study. Normally, we use a UF  
15 of 1 if the study exposure duration is 12 percent of a  
16 life span or greater, and this was less than that.

17 --o0o--

18 DR. DODGE: Go onto the next slide, slide number  
19 7. For the intraspecies toxicokinetic uncertainty factor,  
20 we used a full 10. And this is because of the  
21 toxicogenomic variability we saw between exposed workers  
22 and workers that were also exposed -- it was due to  
23 the -- the sensitized work -- the group of sensitized  
24 workers compared to workers that were exposed but didn't  
25 become sensitized. We saw a 10-fold difference in the

1 toxicogenomic variability, which I'll get into in the next  
2 slide.

3           For the toxicodynamic, it was 10, and this is for  
4 the high sensitizing potential, as well as toxicogenomic  
5 variability and increased sensitivity in asthmatic  
6 children.

7           The cumulative uncertainty factor is 300 for both  
8 these RELs resulting in a 0.015 and 0.008 micrograms per  
9 cubic meter for the 8-hour and chronic RELs respectively.

10                   --o0o--

11           DR. DODGE: Now, I want to go briefly into the  
12 toxicogenomic data. Some gene variances -- some gene  
13 variants are associated with increased sensitivity for  
14 diisocyanate-induced asthma in workers. In particular,  
15 let's look at the third line down. We see an odds ratio,  
16 or OR value, of 10.36. And for this particular gene  
17 variant on epoxide hydrolase, what they're seeing is a  
18 10-fold greater OR in the workers -- the group of workers  
19 that had acquired diisocyanate-induced asthma. And this  
20 is compared to a group of workers that were also exposed  
21 to TDI or other diisocyanates, but did not become  
22 sensitized.

23                   --o0o--

24           DR. DODGE: Next slide, slide number 9. This is  
25 the proposed TDI RELs, a summary of them.



1           However, a follow-up report at 5 TDI  
2 manufacturing facilities in the same State show 1 part per  
3 trillion or no current TDI exposures to nearby residents.

4                           --o0o--

5           DR. DODGE: Next comment, slide 12. This  
6 comment, OEHHA suggests free TDI may be emitted or  
7 extracted from foam products. OEHHA needs to include  
8 studies by Hugo et al., Vangronsveld et al., and CARB,  
9 which is the California Air Resources Board, that show no  
10 exposures occur from polyurethane products.

11           And our response. We revised the section in  
12 question and included the suggested references. In  
13 particular, our revised sections note studies did not find  
14 emissions of detectable levels of free TDI from Consumer  
15 products that were made with TDI. So none of these 3  
16 studies found off-gassing from the products.

17           However, we go on to say, toluene based  
18 extraction resulted in microgram per gram levels of free  
19 TDI extracted from foam. This is -- in particular, this  
20 is from the Vangronsveld study. The authors concluded  
21 that the TDI extracted from foam may have been due to  
22 decomposition of parts of the foam structure by the  
23 solvents, a process that is unlikely to occur under  
24 typical household uses.

25                           --o0o--

1 DR. DODGE: Next slide, number 13. This comment,  
2 OEHHA incorrectly attributes accidental exposure of  
3 children to MDI when xylene was almost certainly the  
4 chemical children were exposed to. This is because of  
5 the, number 1, extreme volatility difference; number 2,  
6 the low MDI content, which was 0.1 percent in xylene; and  
7 number 3 is irrelevant, because it does not reflect use of  
8 any TDI-based products.

9 Now, what this comment is referring to is a study  
10 from South Korea, where workers were laying down this  
11 material onto a track. It appeared to have been sprayed  
12 and aerosolized. Wind direction changed and start blowing  
13 it to classrooms -- nearby classrooms. The students  
14 started experiencing sensory irritation and some  
15 asthma-like effects.

16 Our response was that OEHHA revised the  
17 paragraphs in question, and note the author's Jan et al.,  
18 2008 assumed all the to symptomology was due to MDI, even  
19 though xylene also caused acute eye and respiratory  
20 problems or symptoms. Thus, some of the -- some  
21 proportion of the eye and/or respiratory effects could  
22 have been caused by xylene exposure.

23 However, we also add volatility differences may  
24 not matter, because the tract was sprayed and the solvent  
25 mixture appears to have been aerosolized.



1 comment, childhood asthma is a Th2-driven process, while  
2 TDI-induced asthma is a Th1-driven process. Thus, if the  
3 Th2 pathway predominates in early life, while the Th1  
4 pathway is less well developed, children will be less  
5 sensitive not more sensitive to the development of  
6 diisocyanate asthma, because it is primarily a Th1-driven  
7 pathway in humans.

8 Our response. OEHHA revised and expanded the  
9 discussion of immune response in atopic asthma and  
10 TDI-induced asthma. Research shows that both asthmatic  
11 states are more complex than simply saying one is Th1  
12 driven and the other is Th2 driven. Elements of both Th1  
13 and Th2 pathways can be seen in both atopic asthma and TDI  
14 asthma.

15 --o0o--

16 DR. DODGE: To continue further onto the next  
17 slide. We also added that regardless of differences in T  
18 cell profiles, the clinical manifestations and  
19 pathophysiological changes observed in TDI-induced asthma  
20 are remarkably similar in some aspects to those of atopic  
21 asthma, including airway hyperreactivity, the presence of  
22 eosinophilic lung infiltrates and mucus hypersecretion in  
23 airways.

24 Finally, we stated that differences in T cell  
25 profiles in childhood atopic asthma and diisocyanate

1 induced-asthma does not inform us regarding the response  
2 of immune systems in infants and children to TDI exposure.  
3 So we can't assume children will be less sensitive to  
4 development of TDI-induced asthma compared to adult  
5 workers.

6 --o0o--

7 DR. DODGE: Next slide, number 17. Comment, use  
8 of the full default LOAEL-to-NOAEL UF of 10 for the acute  
9 REL based on 1 in 15 asthmatics responding to TDI exposure  
10 is too high. Number one, the severity of this temporary  
11 effect is subjective and overly conservative. Two, the  
12 response frequency of 7 percent at 10 parts per billion  
13 TDI is clearly approaching the NOAEL. Number 3, a UF of 3  
14 provides a more objective yet still health responsive  
15 basis for a LOAEL to NOAEL uncertainty factor.

16 Our response. Number 1, we consider an asthmatic  
17 response a severe adverse effect. Number two, a second  
18 person responded to 20 parts per billion exposure. And  
19 number 3, one-third of the group experienced sensory  
20 irritation and chest tightness during exposures. Thus, we  
21 do not consider a 10-fold uncertainty factor to be overly  
22 conservative.

23 --o0o--

24 DR. DODGE: Slide 18, next comment. A  
25 toxicodynamic uncertainty factor of 3 is more appropriate

1 to protect children with asthma, because, one, asthma in  
2 children is primarily Th2 driven; number 2, most  
3 diisocyanate asthma is due to overexposure incidences well  
4 above 20 parts per billion.

5 And our response is that it is inappropriate for  
6 OEHHA to assume that children will be less sensitive to  
7 the effects of TDI than adults. OEHHA views asthma as a  
8 disease that disproportionately impacts children. The  
9 potential to either induce or worsen asthma are  
10 considerations in assigning the value of the intraspecies  
11 UF.

12 Also, it is unclear how important high exposures  
13 are from inducing asthma, although they do appear to have  
14 a fact -- it is a factor. Some workers may be sensitized  
15 by long-term low level exposures, while others could be  
16 sensitized by mixed low level and brief high exposures.

17 --o0o--

18 DR. DODGE: Next slide, number 19. The comment.  
19 OEHHA should explain specifically why it did not consider  
20 other studies -- and they're referring to Ott et al., 2000  
21 here -- either alone or in combination with Diem et al. as  
22 the basis for its 8-hour and chronic RELs.

23 The Ott et al. study was summarized in text and  
24 table of our REL summary. In it Ott concluded that work  
25 exposures up to 5 parts per billion time weighted average

1 found little correlation between TDI exposure in either  
2 FEC -- FVC or FEV1 decrements.

3           Specifically, our response is that Diem et al.  
4 established a NOAEL and LOAEL of 0.9 and 1.9 parts per  
5 billion respectively for accelerated lung function  
6 decrement. It is a well-conducted study with an  
7 established NOAEL and LOAEL lower than the Ott et al.  
8 study conclusion.

9   --o0o--

10           DR. DODGE: Next slide, number 20. Comment.  
11 Longer term studies, again Ott et al., 2000, indicate that  
12 a sub -- that a subchronic uncertainty factor of 3 is not  
13 justified. No lung function decrements found in Ott et  
14 al. study -- the mean exposure duration was 9.3 years --  
15 and the longer duration of TDI exposure, the lower the  
16 risk of developing TDI-induced asthma.

17           Our response. Ott et al. conclusion was a 5 part  
18 per billion or less where no lung function decrements were  
19 observed. This is what we call a free-standing NOAEL,  
20 because researchers did not establish a LOAEL. There was  
21 a sensitizing incidence in this study of 0.7 percent per  
22 year.

23           To go on with our response. The Diem study found  
24 a NOAEL and LOAEL below 5 parts per billion for lung  
25 function decrements in a 5-year study. Default subchronic

1 uncertainty factor used because the study duration was  
2 less than 12 percent of a human lifespan. Incidence and  
3 severity of this lesion may increase with exposures longer  
4 than five years. Therefore, we think the uncertainty  
5 factor is justified.

6 And also to add, the mean latency to  
7 sensitization in study by Malo et al., 1992, was 7.3  
8 years. So we feel that the subchronic uncertainty factor  
9 can also be used to protect individuals who become  
10 sensitized with lower level exposure over a longer period  
11 of time.

12 --o0o--

13 DR. DODGE: Next slide, number 21. Comment.  
14 OEHHA inappropriately uses a time-adjusted exposure for  
15 the 8-hour REL based on the chronic REL, using the  
16 supposition that TDI may cause respiratory sensitization  
17 with only intermittent low level exposures.

18 Now, originally, our 8-hour REL was the same as  
19 our chronic REL, so they had both the same number. In our  
20 response, we find some merit in this particular comment.  
21 OEHHA has revised the time-adjusted exposure of the 8-hour  
22 REL from 0.001 to 0.002 parts per billion due to a  
23 duration-dependent component for pulmonary effects.

24 For example, the acute concentration times time  
25 studies, specifically by Pauluhn, in rodents found that

1 both exposure duration and concentration were equally  
2 important. So it's the dose that counts. Some recovery  
3 occurs with 6-hour daily exposures, which is close to what  
4 a 8-hour daily exposure would be for our 8-hour REL,  
5 versus an 18-hour daily exposure in an MDI -- in MDI  
6 rodent studies. And I'll get into this a little bit later  
7 with MDI.

8           The C times T studies in TDI-sensitized subjects  
9 observed that bronchial responsiveness was neither  
10 exclusive concentration nor duration dependent.

11                           --o0o--

12           DR. DODGE: So next slide, number 22. In this  
13 comment, 10 cubic meters over 20 cubic meter adjustment  
14 factor not needed for extrapolation for the chronic REL.  
15 Acute studies in rodents show no sensory irritation or  
16 inflammation below 23 parts per billion, which suggests  
17 some sort of threshold.

18           Our response. It's unclear in humans that  
19 pulmonary function changes based on 8-hour worker  
20 exposures will also be protective for continuous chronic  
21 exposure. So we used the standard default of 20  
22 over -- I'm sorry, 10 or 20 cubic meters. Also, acute  
23 studies may not be particularly relevant for chronic  
24 exposures and developing a chronic REL.

25                           --o0o--

1 DR. DODGE: Next slide, number 23. In this  
2 comment, a 10-fold intraspecies toxicokinetic, or TK,  
3 uncertainty factor for the 8-hour and chronic RELs is  
4 inappropriate. Diem et al. study already includes  
5 potentially sensitive workers, so no TK UF is needed.

6 Our response is that the general population is  
7 likely more genetically varied than a worker population,  
8 so we feel that the 10-fold uncertainty factor is  
9 justified.

10 Also, it's there to account for the up to 10-fold  
11 greater susceptibility based on mean odds ratio values to  
12 diisocyanate-induced asthma in workers with specific gene  
13 variance associated with metabolizing enzymes, including  
14 glutathione S-transferase, epoxide hydrolase and  
15 N-acetyltransferase.

16 --o0o--

17 DR. DODGE: Next slide, number 24. In this  
18 comment, an intraspecies toxicodynamic uncertainty factor  
19 of 10 is not supported by scientific evidence, indicating  
20 children are less sensitive to TDI-induced lung function  
21 decrements. Children are less sensitive, because TDI  
22 asthma is primarily a Th-driven process.

23 Our response is that we applied a intraspecies TD  
24 UF equal to 10 to account for, number one, pharmacodynamic  
25 variability among humans, including infants and children;

1 number 2, increased odds of developing isocyanate-induced  
2 asthma was associated with a number of genes related to  
3 toxicodynamic variability, including genes involved in  
4 immune regulation, inflammatory regulation in antioxidant  
5 defense; and third, no evidence that children are less  
6 sensitive to TDI-induced sensitization or pulmonary lung  
7 function decrements.

8 --o0o--

9 DR. DODGE: Okay. That concludes the  
10 presentation for TDI.

11 CHAIRPERSON KLEINMAN: Okay. Thank you. What  
12 I'd like to do now is give our Panel leads the opportunity  
13 to give their comments, and then we'll go around the table  
14 for comments from the rest of the Panel.

15 So, Dr. Buckpitt, would you begin?

16 PANEL MEMBER BUCKPITT: Certainly.

17 I found both reports very well written, doing a  
18 very good job of covering the literature on the health  
19 effects. I'd spent some time poking through the  
20 literature to determine that your report was quite  
21 thorough. You had looked at all the major studies in this  
22 area. You discussed both the key long-term studies in  
23 humans, which is what you use to set your RELs, as well as  
24 animals.

25 I think appropriately you use the human studies

1 because there were good data in that area to establish  
2 your reference exposure levels. The endpoint chosen for  
3 the assessments was airway reactivity, while the level set  
4 for chronic exposure were based on the long-term  
5 epidemiologic studies relating to decrements in lung  
6 function to TDI exposures. Again, I felt that these were  
7 appropriate.

8           The TDI document did a good job of indicating  
9 whether the studies had corrected for decrements in FEV1  
10 with age, smoking history, and sex, et cetera. So the  
11 corrections had been done.

12           You did a very good job essentially evaluating  
13 the literature references. Where they had difficulties,  
14 you pointed those out in your report. I thought that was  
15 quite well done. An example of that, when measurements of  
16 TDI were reported with less reliable methods, the report  
17 noted that as a deficiency. There was a really good  
18 discussion over the mixture between 2,4-TDI and 2,6, and  
19 how that influences the analytical chemistry associated  
20 with the methods commonly used to measure the levels of  
21 these diisocyanates.

22           Studies used to set the acute REL were small, 25  
23 total split between 15 asthmatics and 10 non, but the  
24 exposures were quite well defined. Measurements of airway  
25 resistance likely an excellent endpoint. The uncertainty

1 factors make sense to me. The acute REL of 0.3 parts per  
2 billion is consistent with protecting children.

3 While this issue was challenged by ACC, and they  
4 made a valid point regarding the release of TDI from  
5 polyurethane foam products, you did go back and correct  
6 your report. And I thought those were appropriate  
7 corrections.

8 I found the studies on genotype variance quite  
9 interesting. I think you used those appropriately to set  
10 your uncertainty factors. I will say I'm mystified by the  
11 fact that epoxide hydrolase, which has, to my knowledge,  
12 no obvious role in the metabolism of this compound is such  
13 an important gene variant.

14 So the suggestions. I think if we look out  
15 there, I found several really pretty good papers looking  
16 at the molecular mechanisms. And I mentioned this to you  
17 last time, Daryn, that while they're not really important  
18 in setting the RELs, you've used the important literature  
19 for that. I think it would be nice to include a section  
20 in your report that goes over some of the mechanisms where  
21 TDI quite clearly reacts with glutathione, probably  
22 non-enzymatically. And that then becomes a shuttle  
23 chemical, if you will, to get TDI into the cell, that you  
24 get carbamylation of human serum albumin from that think.  
25 And that, I think, arguably could be a mechanism by which

1 this is producing an asthmatic response.

2           So I think if you incorporated some of that as  
3 you did in the MDI document. You had more material there  
4 than in the TDI. I think there's plenty of material out  
5 there that would be useful for that.

6           So I'd simply suggest that as an addition to your  
7 report. The review document mentions the reactivity with  
8 nucleophiles, but expansion of this is warranted. And I  
9 think maybe expanding your figure 2, which is your  
10 metabolism figure, to include conjugation with  
11 glutathione, would be certainly appropriate. The data are  
12 quite strong, both in -- certainly in animals. And those  
13 conjugates have been isolated and quite well characterized  
14 with physical methods.

15           Let's see, the GWAS studies, again quite  
16 interesting. I am unable to determine why they had such  
17 an effect with the microsomal epoxide hydrolase, again  
18 because it does not participate in the metabolism of that.  
19 I suppose the only way of sorting through that is the  
20 probability that that also affects other gene variants or  
21 gene expression levels of their enzymes.

22           I would find it helpful if you included, either  
23 in an appendix or up front, a list of abbreviations. The  
24 common things you don't have to deal with, but there were  
25 quite a few things RADS, RAW, RAST. If you're like me and

1 you read over it, and then you say, gee, what was that  
2 again? Then you've got to go back up a couple paragraphs  
3 to figure out what that was. So if you can include a  
4 table, again an appendix would be great. I think it would  
5 be very helpful in terms of reading the report.

6 I found a couple of instances, and they're  
7 probably already corrected at this point, where the title  
8 simply said toluene and it really should be toluene  
9 diisocyanate.

10 On page four, the document describes studies on  
11 TDI disposition in animals, but this was using carbon 14  
12 labeled material. And all it really followed was the  
13 carbon 14, so that they couldn't tell whether it was a  
14 metabolite or the parent compound. And I think making  
15 that clearer probably would be appropriate.

16 The only other -- so the one question that I had  
17 on some of your tables, so page 23 Table 3, page 27 tables  
18 5 and 6, were the numbers presented in those tables  
19 corrected for the normal decline in FEV1? And if so, just  
20 simply footnote that in the table so that it's clear.

21 DR. DODGE: Okay.

22 PANEL MEMBER BUCKPITT: And then I've got a  
23 couple of garbage things, right, that I'll turnover to  
24 you. But overall, I thought you did a great job putting  
25 that together.

1 DR. DODGE: Thank you.

2 PANEL MEMBER GILL: I have actually very limited  
3 comments compared to what Alan has already mentioned.  
4 Overall, I agree in the sense that document is actually  
5 very well written. The literature is very good.

6 I think the one you're referring is to the  
7 Poulsen 2014 article, which is not in -- cited, because it  
8 continues further with regard to glutathione metabolism  
9 and how it first, you know, metabolizes with the  
10 glutathione and then transcarbamoylation to serum albumin,  
11 which probably leads to asthmatic incidence. It would be  
12 nice to include that in the literature review as a  
13 background for mechanistic evaluations.

14 DR. DODGE: I'm sorry, which article was that?

15 PANEL MEMBER GILL: It's Poulsen something, 2014,  
16 correct?

17 He has the reference, I think.

18 PANEL MEMBER BUCKPITT: I have it in the material  
19 that I'll give you.

20 DR. DODGE: Okay.

21 PANEL MEMBER GILL: That is an article which  
22 talks about how glutathione could be involved in this.

23 Also, the link between the genotype is also quite  
24 useful information. I worked with epoxide hydrolase for  
25 maybe 10, 15 years. And I -- he asked -- Alan asked what

1 is the mechanism? It actually has got nothing related to  
2 metabolism when I look at it. But when he was talking  
3 about it, then it came to my mind actually, because  
4 epoxide hydrolase is involved in actually metabolism of a  
5 lot of lipids.

6           And there is no data in this particular  
7 literature as to what the lipid composition of the lung  
8 changes. If that's a case, then it is possible that  
9 epoxide hydrolase, which leads to metabolism of lipids,  
10 which are related to asthmatic incidence. So therefore,  
11 it's not actually a causal relationship, but it could be a  
12 link that means those who are normally susceptible, in any  
13 case already, will become more susceptible to TDI, because  
14 there is a link between increased metabolism of lipids in  
15 the lung, of which epoxide hydrolase is involved, that  
16 that could be involved in asthmatics. So if you want to  
17 include that into a genotype, you may want to incorporate  
18 a section on mechanistically what there could be involved.

19           But as I indicated, that's a possible -- is what  
20 epoxide hydrolase displays, but I don't know whether  
21 there's a causal link between TDI and that particular  
22 incidence, because it may be just one population is more  
23 susceptible than to the other. That's what I think.

24           I have just one other comment, in the sense  
25 that -- two other comments. One is the comment that the

1 ACC made in terms of the default NOAEL To LOAEL of the  
2 factor of 10 you used answered in the factor. I agree  
3 with what you did, because -- but you use the language  
4 that ACC used in the comment in itself is, I think, an --  
5 suggests that if you use the word -- it's clearly  
6 approaching NOAEL. If you see that, that means it is not  
7 NOAEL. And so your conclusion is correct, because I think  
8 the way the language is is very legalistic, and the  
9 approach you responded is appropriate in that case.

10 And finally, I want -- I asked -- David had asked  
11 me whether there's any issue that I wanted to talk about.  
12 One of the comments that I would like to bring up - this  
13 is regarding both of the isocyanates - is the issue of  
14 impurities.

15 I did not see any -- any of the literature. I  
16 went back to the documents and the papers, what percentage  
17 of diamines are present in the mixtures? And it's never  
18 listed anywhere, because diamines is a precursor to the  
19 synthesis of this. And the way the synthesis is done is  
20 fractional distillation, which will never give a purity.

21 The reason I'm asking is because diamines itself  
22 can be quite reactive compounds. Isocyanate is very  
23 reactive and when a compound is very reactive, it just  
24 gets sequestered. But as we see the metabolism, the  
25 metabolism compound -- the key metabolite is a diamine

1 which is also a precursor. So is it a precursor or is it  
2 a metabolite?

3 I think it would be nice for you guys to see  
4 whether there is any impurities, and impurities are always  
5 of concern sometimes. And I would at least try to see  
6 whether you can pinpoint in both cases the amount of  
7 impurities that are present.

8 If there are one percent, I would not be  
9 concerned. If there are 10 percent, I would be a bit more  
10 concerned. And so I think you need to look at that as  
11 such. I don't think it affects the overall scope of the  
12 document. I think that the literature is fine, and I  
13 think it would be just nice to see if there is any issue  
14 that could get involved in that case, and that are  
15 involved in both isocyanates.

16 DR. DODGE: Yes, I can do that and look that up.

17 CHAIRPERSON KLEINMAN: Thank you. I'd like to  
18 open it up to Panel discussion now. And I think we'll  
19 start with the Panel members that are on the phone. So  
20 what I'd like you to do is identify yourself and speak  
21 into your microphones and we'll take comments off the  
22 phone first.

23 PANEL MEMBER BLANC: Paul Blanc here in San  
24 Francisco. Can everyone hear me?

25 CHAIRPERSON KLEINMAN: Yes.

1           PANEL MEMBER BLANC: Great. So I'd like to talk  
2 through a basic conceptual issue that I'm grappling with  
3 in these two documents. And I -- the way I view it is  
4 this might be a very good opportunity for OEHHA to come up  
5 with a logical approach on how to deal with an air toxic  
6 contaminant, which is capable of sensitizing individuals  
7 and then once they are sensitized, they have a response  
8 to --

9           CHAIRPERSON KLEINMAN: Paul, can you hear us? I  
10 think we lost that line.

11           Should we re-dial it?

12           (Thereupon a discussion occurred off the record.)

13           (Off record: 10:14 AM)

14           (On record: 10:14 AM)

15           CHAIRPERSON KLEINMAN: We're going to have to  
16 wait until they call back in on the line.

17           PANEL MEMBER BLANC: Hello. It's Paul Blanc  
18 again. I'm so sorry. We were unplugged.

19           CHAIRPERSON KLEINMAN: Okay. Paul, thank you.  
20 Would you like to continue, please?

21           PANEL MEMBER BLANC: Yes. So as I was saying, I  
22 think there is some confusion between three scenarios.  
23 One, a person who is non-asthmatic, who is exposed to TDI  
24 and has an irritant response, which could include  
25 bronchospasm and temporary increase in non-specific airway

1 hyperreactivity; an asthmatic who is not sensitized to  
2 isocyanate, who is exposed to isocyanate and has a  
3 non-specific bronchospastic response; and third, someone  
4 who has been sensitized to isocyanate and has an  
5 anamnestic response and -- due to prior sensitization, and  
6 therefore has specifically bronchospasm in follow up to  
7 exposure to toluene diisocyanate. So those are three  
8 different scenarios.

9           And the interpretation of the Baur and Vogelmeier  
10 work was that that supported a scenario where persons with  
11 non-specific airway hyperreactivity, that is asthma, but  
12 without sensitization, were more responsive to TDI than  
13 people without airway hyperresponsiveness.

14           I am not sure I was convinced by that, because  
15 there seem to be a lot of negative literature beyond that  
16 one study of normal people and asthmatics not sensitized  
17 who were exposed to toluene diisocyanate and what their  
18 airway response was or wasn't.

19           There's a second issue, which is entirely  
20 separate, which has to do with what level of exposure is  
21 associated with induction of specific sensitization.  
22 That's a very complicated question. It's been very hard  
23 to study, and it's been very hard for regulatory agencies,  
24 who are concerned with workplace exposure, to develop  
25 standards that protect against sensitization.

1           But here's the big difference. When OSHA thinks  
2 about toluene diisocyanate, they actually don't care at  
3 all about workers who have been sensitized. And their  
4 standard is not designed to protect people who have been  
5 sensitized.

6           Whereas, the population bases reference exposure  
7 limits that are developed by OEHHA or recommended by OEHHA  
8 are designed to protect the population at wide, including  
9 those people who are pre-sensitized. They're more than  
10 susceptible.

11           Now, there are two elements. There is the  
12 element which you appropriately dealt with, which was  
13 susceptibility for sensitization, which was the basis of  
14 your genetic information. And then there's a separate  
15 question, which is sensitivity to exposure once you're  
16 sensitized.

17           There wasn't -- there wasn't a, I don't think,  
18 clear thinking, or clearly stated thinking, about exposure  
19 that induces sensitization. Now, it might be that you  
20 would come to the same ultimate reference exposure limits.  
21 But what is clear to me is that your intraspecies  
22 variability has to take into account two things. One, you  
23 did, which was 10-fold susceptibility to sensitization,  
24 but then once sensitized, there's probably 1,000-fold or  
25 greater difference in how people respond to TDI if they're

1 sensitized or not sensitized.

2           But reading your document it's as if you didn't  
3 think about or take that into account at all there, and  
4 then got, I think, overly hung up on the childhood issue  
5 because of previous precedent that we've dealt with with  
6 childhood asthma and what that means.

7           But I don't -- you haven't convinced me that an  
8 asthmatic -- a non-sensitized TDI asthmatic is more  
9 responsive to TDI than the effects of TDI than a regular  
10 person. I don't think the one event described by Baur in  
11 the weight of the evidence is -- it's not convincing, I  
12 think, or at least it has to be dealt with more for what  
13 it is.

14           I think what you've done is you've taken the sort  
15 of cookie-cutter approach to standard development and  
16 applied it to something which is, I grant you, very  
17 challenging, but it would be great if we could come up  
18 with a different kind of template for this sort of  
19 problem, because there are going to be other things for  
20 which the main human health effect is sensitization. And  
21 so it would be good to deal with this in, what would seem  
22 to me to be, a more logical way than I see here.

23           And I think one of the reasons why this may seem  
24 to be coming up late in the game for you, because you've  
25 invested a lot of time and energy, is there really has --

1 doesn't seem to have been a much -- a medical side input  
2 that you've gotten. And, you know, there are some world  
3 experts, not just in California, but in other places in  
4 the United States on the specific subject of  
5 isocyanate-induced asthma.

6           And I think you should take a step back and maybe  
7 have a close read of this from someone like Carrie Redlich  
8 at Yale, who you only cite two publications of her as an  
9 author at all, and yet she's published widely on this  
10 subject. So I'm a little surprised at that.

11           And I think I'll stop my comments there for now.  
12 Rather -- I don't -- really don't want to get into the  
13 weeds and talk about, you know -- you know, on a lower  
14 level. I'd like first to have a back and forth on this  
15 sort of more fundamental challenge, I think, which is not  
16 easy, I acknowledge that.

17           CHAIRPERSON KLEINMAN: Thank you, Paul.

18           Are there other comments?

19           PANEL MEMBER HAMMOND: This is Kathy Hammond.  
20 And thank you. This is really a very challenging area, I  
21 think, and complex. And I think Paul has some good  
22 insights in this and I second a lot of that.

23           So just a few other things. One is that in the  
24 worker study, particularly for something like this, the  
25 survivor effect is really important. For something like

1 this, you are quite likely to have people who are more  
2 sensitive leaving the workforce, because -- and so some of  
3 the longitudinal studies, you know, you find two years  
4 later they have only half as many people at the workplace,  
5 but they don't know what happened to them. And so trying  
6 to say what the incidence of different things are or what  
7 the effects are is very difficult when you haven't  
8 followed those other people.

9           And I know this is very challenging for this, but  
10 I do think that here when we know that there is this range  
11 of sensitivity and people do have to leave the workplace  
12 often, and they might do it without knowing, you know,  
13 just what's going on. So it's important to worry about  
14 that bias that can be in the study -- those studies. It's  
15 cited, I think, in one or two of the studies, but it  
16 really needs to be discussed as a whole topic.

17           Then, secondly, I didn't think -- you know, I was  
18 going back. As I read it through, I don't think there's a  
19 section that's really on the measured exposures. It's  
20 mentioned occasionally through there, but I think you  
21 might want to try to talk about what the exposures are, in  
22 fact, that have been measured, which may not be much in  
23 the environment, but you know -- because this is really  
24 supposed to be for outdoor concentrations, so we should  
25 probably have something on that. And there maybe just not

1 be enough information.

2 And then a very small thing that just to check,  
3 it's about 1,000-fold, you -- on page 8 just above 5.1.3,  
4 you talk about TDI reactions -- I mean, asthmatic reaction  
5 to TDI of 2 to 20 ppm, and I think that might be ppb.

6 DR. DODGE: Yes, that's correct.

7 PANEL MEMBER HAMMOND: That seems really high. I  
8 didn't look up the original paper.

9 DR. DODGE: That's correct. That was -- that was  
10 a typo that we need to correct.

11 PANEL MEMBER BLANC: Paul Blanc. You know, what  
12 Kathy is doing reminded me about one thing, which is  
13 the -- the study which is the basis of your chronic REL  
14 and your subacute REL -- no, your chronic REL. In the  
15 slide presentation it was said that this was the lung  
16 function loss in people without asthma, but a substantive  
17 subset of the workers became sensitized. So I don't know  
18 if you have access to the actual data, but it would seem  
19 to me that it was likely not normally distributed in terms  
20 of the response. If you had some people who were  
21 sensitized, they had a big drop in lung function, and the  
22 people who weren't sensitized I'm not sure they had an  
23 accelerated drop in lung function. So is your outcome  
24 really a loss in lung function or is it simply that you  
25 had a loss in lung function, because that's a marker for

1 the subset that are getting sensitized?

2 I mean, it would be -- this returns to what I was  
3 trying to say, which is the endpoint is not an average of  
4 accelerated loss in lung function of 200 people. It's the  
5 20 people who've become sensitized who are losing a lot of  
6 lung function that you -- that's the effect that you've  
7 found a trigger for. And that's the study in which it's  
8 divided dichotomously between above and below a certain  
9 level.

10 But the issue, if you could do it, is you'd want  
11 to benchmark what is the exposure level which is likely to  
12 induce sensitization. And I suppose you could then work  
13 backwards from that and then put it in an uncertainty  
14 factor for the people who really were sensitized, which  
15 would be 1,000-fold, or something like that, not 10 and  
16 not 100.

17 Because isn't the regular -- isn't our guiding  
18 principle that we use a default uncertainty factor, but if  
19 we actually know something about variability, we use the  
20 real number?

21 PANEL MEMBER GLANTZ: So this is Stan. These  
22 are -- this is all not my area of expertise, but I  
23 think -- since I think Paul has raised -- and Kathy has  
24 agreed, have raised a couple of kind of fundamental  
25 questions, it would be -- I'd appreciate hearing what

1 OEHHA and other Panel have to say about those specific  
2 issues now, rather than having everybody comment and then  
3 have me try to remember what everybody said. But I think  
4 that would be more productive, if that's okay with  
5 everybody else?

6 CHAIRPERSON KLEINMAN: I think that's a good  
7 strategy. So why don't we take some time for the Panel  
8 members to respond, you know, give their thoughts on this  
9 topic.

10 PANEL MEMBER GLANTZ: Okay. But we are having  
11 kind of a hard time hearing you, and the phone is turned  
12 up all the way, so please be louder.

13 CHAIRPERSON KLEINMAN: Okay. We'll start with  
14 Dr. Araujo.

15 PANEL MEMBER ARAUJO: Could you rephrase again  
16 what is what you want to comment about?

17 CHAIRPERSON KLEINMAN: What Stan was saying was  
18 Paul had raised some issues about the populations that  
19 might be affected more greatly by TDI exposure, and that  
20 there could be three or four different types of scenarios.  
21 And there isn't very much specific information on what  
22 populations and the general public are actually falling  
23 into the more sensitive class.

24 We have some information from occupational  
25 exposures, and even that is pretty sketchy, but we don't

1 know very much about the sensitivity characteristics of  
2 the general population, but there are some additional  
3 literature that might be able to cast a light on this.  
4 And Paul was recommending that some of that should be  
5 summarized into the document.

6 PANEL MEMBER GILL: Can I get into a comment  
7 first actually before. Paul, can you hear me?

8 PANEL MEMBER BLANC: Yes, I can. Thanks.

9 PANEL MEMBER GILL: So if you look at the three  
10 scenarios you have described, and the major issue that  
11 will accomplish scenario 3, where people are  
12 pre-sensitized to isocyanate. And so in those cases, you  
13 would see people becoming asthmatic much more greatly than  
14 compared to the other -- at least even compared to the  
15 second scenario you've described, where people are  
16 sensitized to non-isocyanate, but other sensitizing  
17 agents.

18 So in terms of developing rules and regulations,  
19 do you think that's an appropriate parameter to use for  
20 developing regulations where one part -- or a couple of  
21 individuals are pre-sensitized to isocyanate?

22 PANEL MEMBER BLANC: Well, it's not a trivial  
23 question, because it's not a rare event. It's true that  
24 we typically, for carcinogenesis, use a one in a million  
25 cutoff. I think that -- I don't -- I don't have an

1 obvious answer to it, but I would say that if the question  
2 is what is the range of variability of response in the  
3 human population to exposure to isocyanate, we know very  
4 well that there's a subset of people, and maybe a small  
5 subset, but we've created them, that will respond at a  
6 level that's 1,000 times lower than what the legal  
7 standard is.

8           And that hasn't been a problem for OSHA, because  
9 that's -- they don't -- that's not in their mandate. But  
10 unfortunately -- or fortunately, we have to think about  
11 that. And I wouldn't simply defer to what the EPA did on  
12 this subject, because I'm not convinced that they took it  
13 into account. Although, it would be interesting to see  
14 what they're test was, you know, in justifying that, the  
15 federal EPA.

16           And as I said, I think the scenario number 2, I'm  
17 not convinced by the data that were presented that a  
18 person with non-specific airway hyperreactivity who is not  
19 sensitized to isocyanate is necessarily more responsive  
20 than someone else.

21           In fact, there's a fairly short list of  
22 substances in which we're pretty sure that asthmatics  
23 respond differentially to non-asthmatics. Sulfur dioxide  
24 is one of the few. Chlorine, there's some data for it.  
25 Ozone, in fact, does not operate in that way, so it is not

1 impossible to show that ozone preferentially induces  
2 airway -- increased airway resistance in people with  
3 non-specific airway hyperresponsiveness.

4           Although, there is a subset of people when  
5 exposed to ozone who are more responsive than others, but  
6 it's not on the basis of preexisting airway  
7 hyperresponsiveness.

8           So now if you knew that something did that,  
9 that's important, because about 12 percent of the  
10 population has non-specific airway hyperresponsiveness,  
11 about half of whom have something that resembles clinical  
12 asthma, and half of whom don't, but still that have  
13 twitchy airways.

14           So I think that one way or another the document  
15 and OEHHA have to come to grips with what their policy is  
16 about this, and what they're trying to do in their  
17 adjustment factors, for one thing, their uncertainty  
18 factors or not uncertainty, the human variability factor,  
19 the intraspecies variability, because on the face of it,  
20 we -- this is one chemical for which we know something  
21 about the intraspecies variability, and it's quite large.

22           PANEL MEMBER GILL: Thanks.

23           PANEL MEMBER BLANC: So just to come back to what  
24 I said before, to my mind, there would be more logic in  
25 coming up with a starting point that might be higher than

1 what you've gotten with a presumption that Baur  
2 established that asthmatics nonspecifically respond to  
3 isocyanate, but on the down -- on the other end, being  
4 more realistic about how big the human variability is,  
5 taking into account that a subset of people are  
6 sensitized.

7 Now, that subset of people are going to be adults  
8 not children. So I think this is one particular case in  
9 which you could make the argument that we're actually not  
10 thinking about childhood vulnerability, in terms of the  
11 legislative mandate for that.

12 By the way, since, you know, maybe TDI use has  
13 gone down, so you could say that the people who were out  
14 there who were sensitized may be older. You know, it  
15 could be an aging population issue, but I think that's,  
16 you know, probably not -- there's not a way to say that  
17 with data. It's not a data driven statement.

18 CHAIRPERSON KLEINMAN: Okay. If you can hold on  
19 a moment, Peter has said that the technical staff --

20 PANEL MEMBER GLANTZ: Can you talk louder,  
21 please?

22 CHAIRPERSON KLEINMAN: Yeah. We're going to  
23 try to --

24 PANEL MEMBER GLANTZ: Get closer to the  
25 microphone?

1 CHAIRPERSON KLEINMAN: Yeah. Can you hear me  
2 now?

3 PANEL MEMBER GLANTZ: Barely.

4 CHAIRPERSON KLEINMAN: Okay. We're going to hang  
5 this -- hang up the call and we're going to try to  
6 reconnect. Peter said that technical staff can do it if  
7 you call back in about five minutes. Can you do that?

8 PANEL MEMBER GLANTZ: Okay. So should we take a  
9 five minute break?

10 CHAIRPERSON KLEINMAN: Yes, take a five minute  
11 break.

12 PANEL MEMBER GLANTZ: Okay. Bye.

13 CHAIRPERSON KLEINMAN: Sorry.

14 (Off record: 10:36 AM)

15 (Thereupon a recess was taken.)

16 (On record: 10:42 AM)

17 CHAIRPERSON KLEINMAN: Okay. Thank you.  
18 Hopefully, you can hear us better now.

19 PANEL MEMBER GLANTZ: You said thank you. We can  
20 hear you. That's very exciting.

21 CHAIRPERSON KLEINMAN: Okay. Then with that, we  
22 will reconvene. And Paul, I believe you were --

23 PANEL MEMBER GLANTZ: I think Paul ran off to the  
24 little boy's room.

25 CHAIRPERSON KLEINMAN: Oh, okay.

1 PANEL MEMBER GLANTZ: That doesn't need to be in  
2 the record.

3 CHAIRPERSON KLEINMAN: Now, we're having a little  
4 technical problem on our end, because your sound isn't  
5 coming through very clearly.

6 Can we goose up the --

7 PANEL MEMBER HAMMOND: Can you hear me?

8 PANEL MEMBER GLANTZ: Well, I'm -- can you hear  
9 me now?

10 CHAIRPERSON KLEINMAN: Yeah, that's getting  
11 better.

12 PANEL MEMBER GLANTZ: Okay I'm talking, so  
13 you're -- are you fine?

14 CHAIRPERSON KLEINMAN: Yeah, we can hear you.

15 PANEL MEMBER GLANTZ: Okay. Well, I guess we  
16 ought to maybe -- Kathy raised a couple of different  
17 points than Paul did. Maybe we could hear what people  
18 think about that while we're waiting for Paul to get back.

19 CHAIRPERSON KLEINMAN: All right. So we were  
20 starting with Dr. Araujo. So let's start there.

21 PANEL MEMBER ARAUJO: Can you hear me know?

22 PANEL MEMBER GLANTZ: Yes.

23 PANEL MEMBER ARAUJO: Okay. I think this is a  
24 really, you know, very complex situation that you're  
25 raising. And I don't want to elaborate too much on it,

1 because honestly I don't really feel that I have a major,  
2 major contribution to this.

3           But perhaps, at one point, it would be -- if we  
4 ask ourselves do we know or is it -- is there data about,  
5 and how significant is this problem in the case of the  
6 isocyanate-induced health effects or asthma in particular?  
7 Do we know the percentage of people or the number of  
8 people that is affected. By that, I mean, either  
9 sensitized or pre-sensitized and subjects could be more  
10 sensitive to the effects induced by that?

11           If it is a very, very small number, so maybe this  
12 is something that could be discussed in the -- and it  
13 shouldn't really influence like regulatory decisions, but  
14 it could influence, like the knowledge or things that  
15 perhaps physicians need to know, or the moment of, you  
16 know, having patients or subjects that are sensitized to  
17 it. So maybe they do need to have like an awareness, and  
18 that they cannot be exposed or working in places where the  
19 levels could be above a certain number, you know.

20           If, on the other hand, the problem is more  
21 significant, I mean, it affects a larger, more significant  
22 number of people, and I don't want to say a number in  
23 particular. So maybe that should indeed end up in  
24 something that affects a regulatory decision. But I just  
25 don't have the -- you know, enough knowledge to guide or

1 advise one way or another.

2 CHAIRPERSON KLEINMAN: Thank you.

3 Cort.

4 PANEL MEMBER ANASTASIO: This is well outside my  
5 expertise, so I'm going to defer to the other Panel  
6 members.

7 CHAIRPERSON KLEINMAN: Beate.

8 PANEL MEMBER RITZ: So actually one thing that is  
9 more general that came to my mind when I was reading this  
10 was this issue of whether TDI is or isn't off-gassing from  
11 consumer products, because that would then really probably  
12 be an issue, for example, for the sensitized workers, but  
13 maybe also for children and very small children.

14 And I know we had the slide here where language  
15 was changed to emphasize that several studies did not find  
16 any off-gassing. But I'm just wondering whether those  
17 really were studies that considered all possible  
18 situations, such as newer pillows, newer foam versus older  
19 et cetera. It just, you know, was -- it seemed very  
20 specific. It didn't seem like there was a more general  
21 broad concern for consumer product contamination. And  
22 that's what would worry me because that would then  
23 increase the population exposure throughout, if that's the  
24 case.

25 DR. DODGE: One study, in particular - I think it

1 was the Vangronsveld study - did look at new products --  
2 new polyurethane products. And I'm not sure if Hugo et  
3 al. did. It's certainly -- I think this issue could be  
4 looked at more extensively than just a few studies though.

5 CHAIRPERSON KLEINMAN: Yeah. Another issue along  
6 those lines that wasn't really addressed was that thermal  
7 degradation of polyurethane foams does give rise to  
8 release of large amounts of TDI and MDI, but not in the  
9 vapor phase. It's in particulate phase as ultrafine  
10 particles.

11 And that could be another complete source of  
12 exposure to firefighters, first responders, people in the  
13 community that are exposed to smoke from burning furniture  
14 and car seats, anything where polyurethane foams are used.  
15 So there could be events that could cause relatively high  
16 exposures and might even be sensitizing doses that we have  
17 no information on, but we could speculate that these could  
18 occur.

19 DR. DODGE: Yeah. In fact, there is a study of  
20 another diisocyanate in which they theorized that that  
21 exactly happened. It was heated and the diisocyanate was  
22 released in that fashion.

23 PANEL MEMBER GLANTZ: So Paul is back, so we can  
24 go back to talking about his point.

25 PANEL MEMBER BLANC: Sorry.

1 CHAIRPERSON KLEINMAN: Okay. So --

2 PANEL MEMBER RITZ: Can I actually ask you?

3 CHAIRPERSON KLEINMAN: Yes.

4 PANEL MEMBER RITZ: Is that just extreme heats or  
5 does that include heats we have on a normal summer day in  
6 California? So we're talking fire, not heat?

7 CHAIRPERSON KLEINMAN: Well, there were  
8 laboratory experiments where they heated this stuff under  
9 various conditions. And I did not see numbers below say  
10 200 degrees, but I have not looked in depth for other  
11 things at more environmental temperatures. But it makes  
12 sense that you would have some thermal degradation even at  
13 high ambient temperatures.

14 PANEL MEMBER HAMMOND: It would seem to me that's  
15 really important to ask.

16 CHAIRPERSON KLEINMAN: Thank you, Kathy.

17 PANEL MEMBER HAMMOND: Yeah, I think it's very  
18 important to discuss that, because those are other issues.  
19 But can OEHHA and can CARB deal with consumer product  
20 degradation? I guess if you have fires, that becomes  
21 community exposure. Has anyone measured community  
22 exposures around fires?

23 I mean, again, I think that there's not much in  
24 the document, very, very little about measured  
25 exposures -- measured community exposures in air. And it

1 may not exist -- the data may not exist, but at least it  
2 should be reviewed. I'd like to know if it's  
3 comprehensively looked at, and definitely a fire would be  
4 source of that such exposure. Has anyone outside the lab  
5 looked at what those exposures are, like in the real  
6 world?

7 DR. MARTY: Kathy, this is Melanie Marty from  
8 OEHHA. We did look to see what types of measurements had  
9 been made for ambient air. We did not look at any  
10 measurements that had been made during a structural fire,  
11 for example. So we could look for that. I don't know  
12 that that scenario is under any regulatory purview of  
13 CARB.

14 So just a reminder, these numbers that we  
15 generate go to the Air Board and they use the information  
16 in their regulatory processes. And then also the primary  
17 use of these numbers is for emissions from stationary  
18 sources in California.

19 CHAIRPERSON KLEINMAN: This is Mike. In response  
20 to that, what I think -- or at least my point was that I'm  
21 trying to come up with, relevant to what Paul said about  
22 there being different sensitivities and scenarios, are  
23 there ways that people in the general public could be  
24 exposed to something that could sensitize them?

25 And I think this is a possible scenario, which

1 even though we don't have evidence, it would perhaps make  
2 us think that this is -- you know, should be treated much  
3 more conservatively than otherwise.

4 DR. MARTY: Okay. This is Melanie again. So  
5 just a couple things. We do have actually in our  
6 guideline document, which we used to develop these  
7 numbers, that we recognize there are cases where we will  
8 not be able to protect people from idiosyncratic  
9 responses. So we have discussed this issue, and in  
10 particular with the isocyanate, since they are such potent  
11 sensitizers and you do have case reports of people  
12 responding at remarkably low concentrations.

13 So having said that, we do recognize the three  
14 cases Paul is talking about. We may not have been very  
15 clear in the document that we recognized that. And so I  
16 think we could add certainly more text discussion around  
17 that.

18 The second issue is we -- in order to generate a  
19 number, we need enough data that gives us a dose response.  
20 And, you know, Paul, I think you pointed out yourself,  
21 people have tried to figure out a concentration that would  
22 protect everybody from sensitization. And it's probably a  
23 really, really hard thing to do, because we're also  
24 different in sensitization, is the response is a very  
25 individual response.

1           So we realize we could not do a dose response  
2 analysis for sensitization itself, so we took the  
3 available information we had on dose response related to  
4 respiratory parameters and used that as the basis of our  
5 reference exposure level.

6           So on the acute REL side, that's where we're  
7 using responses of asthmatics.

8           PANEL MEMBER BLANC: Paul, here. You're using  
9 response of one asthmatic in the Baur study. And yet,  
10 other studies have not shown that asthmatics are  
11 sensitive, so -- are more responsive than non-asthmatics  
12 or do you believe that you do have other data that  
13 indicates that?

14           I'm not a -- I'm not a lead on this document, so  
15 I didn't necessarily hone in on every -- hone in on  
16 every -- the nuance of every study. It also seems to me  
17 that if you actually had the data -- raw data from the  
18 study that you used for the chronic REL, in fact, maybe  
19 you could maybe model what the dose response for  
20 sensitization was because they -- they're the ones that  
21 reported a 0.7 percent sensitization rate per year among  
22 their population. And they had how many years of follow  
23 up? Nine years? Is that the one with nine years or...?

24           PANEL MEMBER HAMMOND: And it may well be  
25 underestimated by --

1           CHAIRPERSON KLEINMAN: Right, right. But in any  
2 event, I know that in the past OEHHA has gone the extra  
3 mile and gone to an investigator and gotten the data so  
4 that they could do that kind of modeling. Has that been  
5 something you've considered for that study, given how  
6 central it is to your -- to your estimation?

7           DR. DODGE: Dr. Blanc, this is Daryn. Regarding  
8 the Diem et al. study, they did take into account the 12  
9 individuals that had become sensitized, and it was not a  
10 factor in the reduction of pulmonary function --  
11 accelerated decrease in pulmonary function.

12           PANEL MEMBER BLANC: What do you mean they took  
13 into account? Can you just tell us what they -- they  
14 re-ran the model excluding them?

15           DR. DODGE: Yes. Whether they excluded them or  
16 included them, it was not a factor in the higher dose  
17 group.

18           PANEL MEMBER BLANC: And how did they -- how did  
19 they define sensitization? Because as you probably know  
20 by now, having delved into the morass of this literature,  
21 in fact, IgE is not a reliable measure, and one of the big  
22 challenges, and have been linked, isocyanate as not a good  
23 measure. So there's no good immunologic measure of  
24 sensitization.

25           DR. DODGE: Correct, but they might have used the

1 gold standard of actually --

2 PANEL MEMBER BLANC: It is not a gold standard.

3 DR. DODGE: -- exposing the individuals to TDI  
4 itself to see what kind of result --

5 PANEL MEMBER BLANC: I doubt very, very highly  
6 that they would do inhalation challenges. I would be  
7 extremely skeptical if that's how they defined it. But it  
8 should be obvious from the article, right?

9 DR. DODGE: Well, if it's not in -- if it's not  
10 currently in the document, I will put it in there how they  
11 defined sensitized workers.

12 PANEL MEMBER BLANC: But coming back to the more  
13 fundamental question, do you think that the data could be  
14 had from them, the raw data?

15 DR. DODGE: There is another study I refer to  
16 alongside Diem that's in the document. It gives a little  
17 more information. It appears to be sort of the industry  
18 study. It's got more information, but it's not a really  
19 good breakdown of every individual in the results of every  
20 individual.

21 I looked at that, and, you know, it's very  
22 difficult to figure out from that study, well, is the --  
23 for example, the sensitized individuals, which group did  
24 they fall into? It appears that some of some them may  
25 have been actually in the low dose NOAEL group or the

1 NOAEL that was used for accelerated decrease in lung  
2 function.

3 So I could --

4 PANEL MEMBER HAMMOND: Well, I mean, that's  
5 actually kind of -- I think that's a little bit of a  
6 problem. I mean, so the dichotomy is set based on the  
7 lung function, and then -- and they say three of the  
8 workers were in the low -- three of the 12 sensitized  
9 workers were in the low exposure group.

10 DR. DODGE: That appears to be true, yeah.

11 PANEL MEMBER HAMMOND: So they're not people who  
12 have been unaffected by the exposure? That's not -- you  
13 know, it's a LOAEL for the lung function, but we know that  
14 sensitization is -- the response for sensitized  
15 individuals, at least, the LOAEL for that is going to be  
16 much lower.

17 DR. DODGE: That's correct.

18 PANEL MEMBER BLANC: It says actually here, at  
19 least in your summary, that the way the defined  
20 sensitization -- you're saying that the -- that the --

21 PANEL MEMBER GLANTZ: What page are you on?

22 PANEL MEMBER BLANC: I'm on page 23.

23 You're saying that the parallel study or analysis  
24 of the same data set was Hans Weill's study analysis from  
25 1981? Is that the same -- so it's the same co --

1 PANEL MEMBER HAMMOND: It's the same numbers.

2 PANEL MEMBER BLANC: Is it the same cohort, is  
3 that what you mean? You have the Diem study from 1982.  
4 And it's the same number, so it's -- the Weill study is  
5 the same cohort although published a year before?

6 DR. DODGE: That's correct. It was looking at  
7 the same group of people, the Weill study appears to be  
8 sort of an industry-generated study. It wasn't -- and the  
9 actual published report was the Diem et al. study.

10 PANEL MEMBER BLANC: Oh, I see, so Weill was not  
11 published. It was an internal report of some kind?

12 DR. DODGE: Well, it wasn't peer reviewed like  
13 the Diem et al. study was.

14 PANEL MEMBER BLANC: Okay. So I think, by the  
15 way, as an side, you should indicate that it was  
16 nonpeer-reviewed study, but -- if that's the case. But --

17 DR. MARTY: Paul, it's a NIOSH technical report,  
18 Weill.

19 PANEL MEMBER BLANC: I see. Okay. Well, then  
20 that's harder -- then it's not an industry study.

21 DR. MARTY: Yeah, it's not an industry study.

22 PANEL MEMBER BLANC: Okay. So, in any event,  
23 they say they define sensitization based on people who  
24 were clinically asthmatic at the workplace basically, if  
25 they developed recurrent respiratory signs of symptoms

1 upon repeated exposure to low concentrations of TDI.

2           So in fact, that's not sensitization. That's  
3 people who are clinically have developed asthma.

4           CHAIRPERSON KLEINMAN: Okay. Any further  
5 comments?

6           Dr. Buckpitt.

7           PANEL MEMBER BUCKPITT: Paul, I understand --

8           PANEL MEMBER GLANTZ: This is Stan.

9           Again, I'm kind of an observer to this discussion  
10 trying to -- and so where have we ended up? I mean, what  
11 does OEHHA propose to do in response to these issues Paul  
12 is raising?

13           CHAIRPERSON KLEINMAN: Before we get a response  
14 from OEHHA, I'd like to give the other Panel members a  
15 chance to chime in.

16           So Dr. Buckpitt.

17           PANEL MEMBER HAMMOND: Did you finish your  
18 comment? You didn't give your comments yet?

19           PANEL MEMBER GLANTZ: I don't really have any.

20           PANEL MEMBER BUCKPITT: Paul, I understand  
21 your -- the points. And I think your points are well  
22 made. I wonder if there's a fairly recent study -- now,  
23 this is in rats. So it's the Brown, Norway, and Wistar  
24 rats, but they're looking at exactly the point that you  
25 bring up. And they both skin sensitizes and inhalation

1 sensitizes these animals to TDI to determine whether there  
2 was a threshold in essentially making those animals more  
3 susceptible.

4           And I wonder if -- it's not going to be a perfect  
5 study, but I think they do show that there's really a  
6 threshold for those responses. And I wonder if that could  
7 help us out in terms of being comfortable with where these  
8 RELs have been set? That's the Jürgen Pauluhn study, and  
9 toxicology. Let's see, it's 319, 10 through 22 of 2014.

10           PANEL MEMBER BLANC: Well, there is one approach  
11 that we've used in the past, which is a sort of mind  
12 experiment, where we say, okay, here's a REL we got to  
13 using a kind of standard approach, but were we to have  
14 done A, B, C, we would have come out essentially the same  
15 way. And perhaps I would feel comfortable if I saw that  
16 in the document.

17           It would be like I say, okay, so this is what we  
18 do, because this is how we do, but were we to have taken  
19 the dose that sensitizes, taken a benchmark approach or a  
20 NOAEL approach that gets us with a safety factor for the  
21 sensitization in rats, and then we put in a 10-fold factor  
22 for genetic variability in humans, which increases the  
23 risk of sensitization, and then we put 1,000-fold factor  
24 in for the response of people to isocyanate if they've  
25 been sensitized, then we would come out with 0.008 parts

1 per billion just the same. That would make me feel more  
2 comfortable, or if you were very close, or in the  
3 ballpark.

4 DR. MARTY: We could take a look at that.  
5 Although, I'm not sure I, you know, would put exactly the  
6 uncertainty factors that you just mentioned. We can look  
7 at that and see where it would come out using the animal  
8 data and our typical default assumptions about  
9 extrapolation from animals to people, and then  
10 extrapolation from sensitive two sensitive individuals.

11 But at some point, we have to recognize it's not  
12 possible to predict everyone in the population. And there  
13 are case reports that they -- I'm sure you're aware of --  
14 of responses to very, very low concentrations of the  
15 isocyanates in sensitized individuals.

16 PANEL MEMBER BLANC: Well, first of all, these --  
17 I would differ a little bit. These are not one-off case  
18 reports in the Journal of Medical Case Reports. These  
19 are -- some of them are a series of people that have had  
20 controlled human exposure to -- actually to define whether  
21 or not they are isocyanate sensitized. For example, a  
22 series from, you know, Malo in Quebec, which I think are  
23 some of the papers you cite with Vandenplas probably. So  
24 it's -- it's, you know, smaller than a house, but it's  
25 bigger than a bread box, I think.

1           And I think you -- maybe you could then have an  
2 estimate of what you think the rate of people that are  
3 sensitized in the California population are. And, if that  
4 number is less than one in a million, you know, then you  
5 could say, well, in the same way that we regulate -- we do  
6 risk estimates for carcinogens getting it down to one in a  
7 million excess incidents, or whatever your standard is.

8           Then you could say, since there's only one in a  
9 million people in California who are sensitized to  
10 isocyanate, we don't -- you know, we take this as being a  
11 completely idiosyncratic. In essence, below the level  
12 which we normally attempt to modify risk.

13           PANEL MEMBER HAMMOND: Although, I think making  
14 that up, you might want to take into account Mike's  
15 comments about how high might have been the exposures  
16 during fires.

17           PANEL MEMBER BLANC: Or whatever your -- whatever  
18 your --

19           PANEL MEMBER HAMMOND: That might lead to  
20 sensitization.

21           PANEL MEMBER BLANC: Well, you say what's the  
22 prevalence of sensitization based on all these things or  
23 the likely prevalence. I mean, I think at least you  
24 need -- at least you need to say it, because people can't  
25 read into the document those presumptions. It's

1 like -- it's kind of the equivalent of what would be the  
2 limitations section of a paper, you know. It's hard to  
3 see your acknowledgement of some of the -- some of these  
4 presumptions and limitations.

5 DR. MARTY: Well, I think we can look at what  
6 data are available to make an estimate of the number of  
7 individuals sensitized to TDI. I don't think -- or  
8 isocyanates in general. I don't think that that's going  
9 to be very simple to do or are very, you know,  
10 quantitatively robust, I guess.

11 PANEL MEMBER BLANC: Well, for example, there's  
12 some data from Ontario from Susan Tarlo's group on what  
13 the number of people who have received compensation for  
14 isocyanate.

15 PANEL MEMBER HAMMOND: For workplace?

16 PANEL MEMBER BLANC: From workplace. Well, those  
17 people are an N of people in the population of the  
18 Province of Ontario. Similarly, Quebec, any worker with  
19 suspected isocyanate asthma in Quebec is sent for  
20 challenge testing. It's one of the few places. So the  
21 data on the number of cases that they've seen over X  
22 years, assuming that some of them haven't died, is the  
23 number of sensitized people in the Province of Quebec. So  
24 you can get some sense. Is it 1 in 1,000,000? Is it 1 in  
25 100,000?

1 DR. MARTY: We could get a sense. I don't know  
2 how much that's going to inform the choice of uncertainty  
3 factors. We're applying to develop a reference exposure  
4 level. We still would not have dose response data on  
5 reaction of sent -- of isocyanate-sensitized individuals  
6 to variance concentration.

7 PANEL MEMBER BLANC: Oh, yeah. That's not --  
8 that's kind of not what I meant. I meant more your policy  
9 points. Because clearly, the issue of whether it's  
10 idiosyncratic or cannot be addressed by standard, you'd  
11 have a different sense if it is 1 per 1,000,000 versus 1  
12 per 1,000. And I'm not arguing it's 1 per 1,000 are  
13 sensitized, but -- that's correct, isn't it? I mean, that  
14 would have different policy implications?

15 DR. MARTY: It could. We haven't sat down and  
16 pounded out any kind of policy using numbers.

17 PANEL MEMBER BLANC: Is the --

18 DR. MARTY: But again, the other thing to think  
19 about too is at the reference exposure level we're  
20 setting, our -- is that going to be a number that impacts  
21 people sensitized or not, and is it going to be a number  
22 that protects from sensitization, which is, you know,  
23 something that NIOSH is -- or OSHA is not doing, but, you  
24 know, we would like to do.

25 PANEL MEMBER BLANC: No, OSHA -- no, let me

1 correct again. OSHA does protect against sensitization.  
2 That's what their standard is. It doesn't protect  
3 sensitized people. Theoretically, that's what it does.  
4 So, you know, who knows how they came up with it, but  
5 that's the rationale. You know, I don't know. I'd be  
6 curious what -- Jesús, are you still there at the table?

7 PANEL MEMBER ARAUJO: Yes.

8 PANEL MEMBER BLANC: So putting on your physician  
9 hat, what's your take on all this?

10 PANEL MEMBER ARAUJO: I am with you. This was  
11 exactly my comment that the -- not knowing much about the  
12 particulars of these problems, it seems to me that it all  
13 relates to how you express the prevalence of this. So if  
14 this is something that is extremely small, so maybe we can  
15 just treat it as you're saying. Maybe it's something  
16 that's idiosyncratic or something that doesn't really  
17 require that regulation for those people. But if it is  
18 something that is -- that involves a more significant  
19 number of people, then we should do something. I don't  
20 think we know that. I think that everything will be more  
21 like speculations.

22 By the way, I will have another comment about use  
23 of the -- not a sensitization, but in relation to the  
24 susceptibility. But that will come when I have -- I don't  
25 want to divert, but it's something that I will connect to

1 this. There could be another -- suffice to say for now,  
2 there could be another group of people that could be  
3 susceptible. We just don't know. We don't -- may not  
4 have enough knowledge about it.

5 DR. DODGE: Dr. Blanc, this is Daryn again. One  
6 of the factors we tried to take into consider in trying to  
7 grapple with this whole issue of workers that -- or people  
8 that may have become sensitized is what kind of data --  
9 what kind of data are out there -- I'm getting an echo  
10 effect -- what data is out there in which there is a  
11 controlled human study of somebody that had been  
12 sensitized to diisocyanates?

13 The lowest concentration I could find in a study  
14 in which a worker that was sensitized, and then had an  
15 asthmatic reaction was a study by Suojalehto, 2011, in  
16 which a concentration of 0.05 parts per billion resulted  
17 in what they considered an asthmatic reaction. And he  
18 had -- this person had become sensitized probably due to  
19 MDI or methylene diphenyl diisocyanate.

20 So our -- so the question is, is our RELs, our  
21 8-hour and chronic RELs how do they compare to this lowest  
22 number that I -- that we could find? And right now, they  
23 appear to be lower than that number or right around that  
24 number, at least for these two compounds.

25 So when we --

1           PANEL MEMBER BLANC: You know, I agree that I  
2 think the numbers that you got to are public health  
3 protective. So that -- and I know that there have been  
4 times when our discussion has circled around whether a  
5 number is appropriately public health protective, but I'm  
6 not sure that you got to it in a way that is logical and  
7 supportable enough. So that's one reason why just  
8 pragmatically I suggested perhaps doing an alternate  
9 calculation just seeing that you're in the ballpark.

10           I do think in terms of human control studies, the  
11 controlled studies, I'm going to return to the chamber  
12 studies -- the exposure chambers. In North America, it's  
13 only in -- in Quebec, basically at this point, and in  
14 Italy, which may be where the study you referred to, and  
15 Vandenplas has in Belgium, but they have a protocol when  
16 they test somebody with isocyanate, and they start with an  
17 exceedingly low number, and then they go up. And then  
18 they have a cutoff for what they say is a positive  
19 response.

20           In those reports, I think they can tell you what  
21 their -- there's a reason why they start as low as they  
22 start, and they have a certain percentage of people who  
23 respond, and they stop when they get to when they respond.

24           So that might be some reasonable guidance for  
25 what is -- what is, in fact, a threshold for bronchospasm

1 in someone who has been sensitized. Not all of these --  
2 it turns out some of these people don't have isocyanate  
3 asthma, so it becomes a negative study. But I think those  
4 data, for your purposes, are actually pretty useful. And  
5 I can understand why you didn't go that route, because it  
6 wouldn't be something you would typically look at in this  
7 kind of risk assessment, but -- in a generic risk  
8 assessment. But in this particular chemical, I think  
9 it's -- it could be very informative. I can't promise  
10 you, but I think that there's something there for you.

11           And I do think if there's -- I don't know what  
12 the mechanism could be for OEHHA to bring in a respiratory  
13 physician or consultation for this particular challenge  
14 that you face, but I think you could get a lot of -- a lot  
15 of help quickly that way that might be extremely useful,  
16 not just for this chemical, but there may be others down  
17 the pipe where it's going to be not an unrelated set of  
18 questions.

19           And just in terms of how the word idiosyncratic  
20 is used or not used. I would tend, in a medical sense,  
21 not to use the word idiosyncratic for someone who's  
22 sensitized to a known sensitizing agent. Idiosyncratic  
23 tends to be more I don't know why they responded this way  
24 or they had an out-of-the-box response. But I grant you,  
25 there's overlap, and some of it's just personal usage.

1 DR. MARTY: So, Paul, while you were talking, I  
2 think another approach we can take to inform the  
3 uncertainty factor, particularly for the acute reference  
4 exposure level, which is the one that's based on an  
5 asthmatic response, is to look at whatever data are  
6 available on measured responses in sensitized individuals  
7 and then see where those concentrations are that trigger  
8 the response in relation to the acute reference exposure  
9 level, and then drop it down if it seems like that number  
10 is too high.

11 PANEL MEMBER BLANC: Yeah, it would be useful to  
12 see that. And I think with the other study, if it truly  
13 was a NIOSH study, it seems to me NIOSH has the raw data.  
14 In fact, they have to give you the raw data, unless they  
15 say we lost it. And maybe they've actually done this  
16 analysis, but never have gone anywhere with it, in terms  
17 of what's -- what's -- now, I'm talking about  
18 sensitization and what's the dose response for  
19 sensitization.

20 DR. MARTY: We can ask Weill.

21 PANEL MEMBER HAMMOND: And you could ask them how  
22 they define sensitized.

23 PANEL MEMBER BLANC: These are clinically  
24 sensitized.

25 PANEL MEMBER HAMMOND: So that's a clear

1 definition to you.

2 PANEL MEMBER BLANC: Well, it's a very, very --

3 PANEL MEMBER HAMMOND: A high threshold?

4 PANEL MEMBER BLANC: -- high threshold, right?

5 These are people who -- sensitized means you're actually  
6 clinically sick with asthma. It doesn't mean that you  
7 have antibodies. So it means that you've become  
8 sensitized.

9 PANEL MEMBER HAMMOND: So you might think that  
10 more people are sensitized.

11 PANEL MEMBER BLANC: Much more than that.

12 PANEL MEMBER HAMMOND: And they might 0.9 percent  
13 per year getting sensitized.

14 PANEL MEMBER BLANC: Right, getting clinically  
15 sick.

16 PANEL MEMBER HAMMOND: In the new factory that  
17 has much lower TDI concentration.

18 PANEL MEMBER BLANC: Right.

19 PANEL MEMBER HAMMOND: Whatever the level is  
20 doing.

21 PANEL MEMBER BLANC: You know there's a couple  
22 other minor things. You discussed -- and I understand,  
23 Melanie, the point about fixed sites and much more  
24 relevant I think to your next -- to your next exposure,  
25 MDI, and will be even more relevant to HDI, if you were

1 doing one on that.

2           You know, it's not so much the factories  
3 producing this stuff, it's where it's used in the field or  
4 was used in the field. So that's -- that's not really  
5 captured, I think, very well in the description of  
6 scenarios for exposure of -- it's not simply big factories  
7 that are producing TDI or producing products with TDI,  
8 it's people who are, particularly in the foam world, you  
9 know, people who are using -- using -- generating  
10 polyurethane out there in the field. So I think there  
11 needs -- and maybe it's a lot smaller than I think it is,  
12 but I think it needs to be alluded to.

13           And I have a technical question also. In terms  
14 of the pre-polymer, how would that figure into this REL?  
15 If I measured -- technically, if I were to measure  
16 isocyanates would the pre-polymer come out -- be detected  
17 as TDI as three molecules of TDI, despite a sampling and  
18 analysis?

19           DR. DODGE: This is Daryn again. If the  
20 pre-polymer is in aerosol form, as it likely is, and the  
21 TDI is more of a -- in the vapor form, it may be missed  
22 depending on the type of equipment being used to try and  
23 measure them.

24           PANEL MEMBER BLANC: Right, but would you  
25 actually then when you did your -- let's say you had the

1 right sampler, so you were capturing both aerosol and  
2 vapor, and then I do a GC -- you know, LC analysis, will I  
3 measure separately TDI and TDI pre-polymer, or will the  
4 TDI pre-polymer all be converted to TDI? Does your REL  
5 include TDI as TDI and pre-polymer?

6 DR. DODGE: You know, I don't have -- I can't  
7 recall finding much information regarding TDI in regards  
8 to your question. However, there is quite a bit of  
9 information out there on HDI, hexamethylene diisocyanate,  
10 because it often -- you often find the vapor HDI in a  
11 mixture of pre-polymers that are aerosols. And for that  
12 compound, they do measure those separately, the vapor form  
13 and the aerosol form, with the assumption HDI is the  
14 air -- is the vapor and the pre-polymers are all in  
15 aerosol form.

16 PANEL MEMBER BLANC: And so your view would be  
17 that the pre-polymers are not biologically active?

18 DR. DODGE: Oh, they definitely are. However,  
19 they could deposit in different areas of the lung compared  
20 to the vapor.

21 PANEL MEMBER BLANC: So maybe I'm not asking the  
22 question in the right way. And I'm looking across the  
23 table at Kathy. I think she could ask the question in the  
24 right way that I'm asking about what your standard covers.  
25 Does it cover TDI as TDI and pre-polymer or only TDI, if

1 it's in the form of TDI --

2 DR. DODGE: For this --

3 PANEL MEMBER BLANC: Does your proposed -- as  
4 your proposed REL?

5 DR. DODGE: The proposed REL is based on TDI, the  
6 monomer.

7 PANEL MEMBER HAMMOND: Not the pre-polymer.

8 DR. DODGE: No. However --

9 PANEL MEMBER HAMMOND: But you just said there is  
10 a biologic response to a pre-polymer.

11 DR. DODGE: Oh, yes. Yes. People become  
12 sensitized to pre-polymers, not necessarily TDI, but all  
13 other pre-polymers of like MDI and HDI. I'm not as  
14 familiar with the pre-polymer and how often it's used for  
15 TDI.

16 PANEL MEMBER BLANC: But you have a -- you  
17 thought enough of it to make it part of figure number one.

18 DR. DODGE: The information I have is from  
19 occupational studies in which there are manufacturing TDI.

20 PANEL MEMBER BLANC: I think someone else has to  
21 re-ask my question, because I think I'm just not asking it  
22 the right way.

23 PANEL MEMBER HAMMOND: Well, you know, I kind  
24 of -- I'm guessing that what I'm hearing from you is just  
25 some of the difficulties of knowing what's out there. But

1 I had -- maybe I misread this, but when I was reading  
2 this, I thought you were saying some place that people  
3 were talking about and were, in fact, using the  
4 pre-polymer, thinking it was less dangerous, less  
5 biologically active.

6 DR. DODGE: Oh, no, that's not the case. It's  
7 less --

8 PANEL MEMBER HAMMOND: Okay. I misread that  
9 then. Sorry.

10 DR. DODGE: Well, it's not a vapor, so it's not  
11 going to vaporize, and so it's not going to be as much of  
12 a threat to workers via the inhalation route.

13 PANEL MEMBER HAMMOND: I think that sometimes one  
14 has to -- that may or may not be true. And I think  
15 aerosols can also pose risks to workers. And so before I  
16 would make such a statement, I'd want to make sure that  
17 that was true, you know.

18 DR. DODGE: It is true. If it's -- if the  
19 aerosol is being sprayed or heated, you will get exposure  
20 via the inhalation route.

21 PANEL MEMBER HAMMOND: Correct. Right. Right.  
22 Exactly

23 PANEL MEMBER BLANC: Which it almost always is  
24 sprayed.

25 PANEL MEMBER HAMMOND: And so I guess there are

1 multiple components to Paul's question. See if I can  
2 tease them apart. If we think we have -- let's just treat  
3 them as two different chemicals, TDI and the pre-polymer.  
4 And you're saying that they both have biologic effects.  
5 And am I hearing that the pre-polymer might be even more  
6 biologically active, is that correct?

7 DR. DODGE: From what information is out there,  
8 if you're going to do a comparison of potency for the  
9 effects, generally, the monomer is going to be more toxic  
10 than the pre-polymers.

11 PANEL MEMBER HAMMOND: Okay. So the other -- I  
12 mean, so one issue is pretend these are -- I mean, they  
13 are two different chemicals. Treating them as two  
14 chemicals for just a moment, one would want to say when  
15 you're looking at health effects, you'd want to see to the  
16 degree to which you can separate them, their health  
17 effects in the particular study.

18 And the other piece is -- that Paul is referring  
19 to is the degree to which we're measuring one or the  
20 other -- the TDI itself as the monomer or the pre-polymer.  
21 And so one of the questions, is there multiple chemical  
22 methods for measuring concentrations in the various  
23 studies, the Marcali reagent, which is with respect for  
24 photometric, which was thought to underestimate, I think  
25 there was a mention of a liquid chromatographic method.

1 And I didn't actually systematically go through and try to  
2 figure out, you know, which ones use which. And what --  
3 and I don't know, and I don't know if you know or have  
4 known, you know, which of these methods responds and in  
5 which ways to the monomer and to the pre-polymer?

6 There's a lot in what I just said. Am I clear or  
7 do I need to restate it?

8 DR. DODGE: I think so. The issue here is that  
9 all of the studies we had looked to the monomer, TDI. I  
10 don't have a good feel about how much of the pre-polymer  
11 is used out there and what the exposure is unfortunately.

12 So we had to rely on the TDI studies, nearly all  
13 of which, as far as I know, use the monomer TDI. A lot of  
14 the -- a lot of the occupational studies, they were  
15 manufacturing TDI, the monomer, so that's what they were  
16 looking at.

17 PANEL MEMBER BLANC: Well, let's say --

18 DR. MARTY: So this is Melanie. Just to throw in  
19 another consideration, we're looking at chemicals that are  
20 listed under the Air Toxics Hot Spots Program and the  
21 monomers are listed not -- not the oligomers.

22 PANEL MEMBER BLANC: So I may -- if I were in the  
23 South Coast Air Quality Management District, and I came up  
24 and I did really good levels, and I found that there was  
25 an acute level of 2.9 -- 0.29 parts per billion TDI and

1 another 1 part per billion TDI pre-polymer, I would be  
2 okay, right, because I'm under the reference exposure  
3 level for TDI?

4 DR. MARTY: You know, I don't think we can get  
5 into the risk management arguments at this phase. And,  
6 you know, your hypothetical I'm not even sure is something  
7 that the South Coast AQMD would be able to measure. Most  
8 of the time these are applied to results of modeling from  
9 emissions from specific facilities.

10 PANEL MEMBER BLANC: Right.

11 CHAIRPERSON KLEINMAN: But I think where we are  
12 is that we don't have good strategies for measuring and --  
13 well, not for measuring, but for developing risk  
14 approaches for multiple chemicals in many instances, and  
15 this is yet another one.

16 I think what I'd like to do, because some of  
17 these issues are becoming more specific to the  
18 diisocyanates, in general, I'd like to go through the MDI.  
19 And then we can have, you know, a specific discussion of  
20 the MDI, and then see if we can't bring some of this  
21 altogether.

22 So if we could continue with the OEHHA  
23 presentation on MDI, I think we can get back on track with  
24 that.

25 PANEL MEMBER ARAUJO: May I -- I thought that

1 those were just the comments about the specific questions  
2 or -- of -- that Paul raised and also Stan, but I do have  
3 some additional comments about the MDI that I would like  
4 to mention.

5 CHAIRPERSON KLEINMAN: MDI or TDI?

6 TDI or MDI?

7 PANEL MEMBER ARAUJO: Well, it's probably both,  
8 but it's more in relation to the MDI than the TDI.

9 CHAIRPERSON KLEINMAN: Okay. So we're going to  
10 start with the MDI now, and then we'll have a chance to  
11 go --

12 PANEL MEMBER ARAUJO: No, no. I'm sorry. I'm  
13 sorry. I'm saying TDI. I'm sorry, yes.

14 So the comments that I wanted to make is in  
15 relation to also some observations from both, Alan  
16 Buckpitt and Sarjeet Gill, where they praise overall the  
17 document, but they did have some observations on the  
18 findings and your reporting that the -- some genotypic  
19 variance for the epoxide hydrolase and show increases  
20 susceptibility.

21 I have to add that is not only on the epoxy  
22 hydrolase, and they also talk about the GST and they also  
23 talk about the superoxide dismutase. So I went back to  
24 the figure -- and by the way, this also connects in with  
25 the MDI somehow, but I think they both cover the evidence,

1 and that is at least publishes more in relation with the  
2 TDI.

3           So I went back to the figure where you show the  
4 chemistry, and -- of the TDI, and also on all the text  
5 that you wrote about the metabolism, and I couldn't really  
6 figure out and how is that antioxidant genes that are  
7 being involved, that somehow altered the toxicity of the  
8 TDI and could connect with it.

9           So in other words, and -- is there oxidative  
10 stress like a component of the toxicity or it is somehow  
11 involved in the metabolism or catabolism of the -- in TDI?

12           So it's not apparent. The chemistry doesn't show  
13 it. So I started doing some literature researches, and to  
14 see what could be these connections between the TDI and  
15 the epoxy hydrolase?

16           And it turns out that there is a paper, and I'm  
17 going to give you the reference later, but it's a paper by  
18 Kim et al. from 2010, K-i-m, that -- where they noticed  
19 that patients that had been exposed to TDI had decreased  
20 levels of ferritin. Later on, they also showed on the  
21 same paper that there was decreased levels of transferrin  
22 in the blood.

23           They ended in some cell culture, where they  
24 exposed the epithelial cells into these compounds. And it  
25 turns out that they demonstrated the same. The TDI

1 resulted in decreased expression of the ferritin. And  
2 specifically, the ferritin light chain. The ferritin has  
3 two chains, the light chain and the heavy chain. And the  
4 light chain was increased. And I don't know if also in  
5 the cell culture they also noticed this with the  
6 transferrin.

7           So they hypothesized that maybe this was in  
8 relation to a transcription factor which is an NrF2. They  
9 mentioned an expression of some antioxidant genes that  
10 related by NrF2, such as heme oxygenase-1, where they've  
11 done quite a bit of work, and it was decreased. But it  
12 also decreased the levels of cellular level antioxidant  
13 genes.

14           In general, when you have oxidative stress, there  
15 is a regulation of the antioxidant. So NrF2 is actually  
16 translocated to the nucleus, and it eats the expression of  
17 the heme oxygenase-1 and these other antioxidant genes.  
18 But in this case, the TDI leads to the opposite. It is to  
19 decrease expression of that.

20           So they continue, and in the same paper they show  
21 that there is some phosphorylation changes of the MAP  
22 kinase that regulate on the activation of NrF2, and they  
23 stop there.

24           So it seems that somehow this compound leads to  
25 some changes, some intercellular signal changes that leads

1 to a decreased translocation of NrF2 from the cytoplasm to  
2 the nucleus and to a decreased expression of the  
3 antioxidant genes. And all this can result in increased  
4 oxidative trees.

5 So it may be that the increased oxidative stress  
6 is not due to the chemistry of the compound, but due to  
7 some regulatory changes or these regulatory changes on the  
8 antioxidant genes.

9 So then I went to another paper that you didn't  
10 reference in your document and -- which is by Brown. And  
11 they talk about biomarkers of this compound. And they  
12 mention about biomarkers of oxidative stresses.  
13 Unfortunately, I haven't had access to the full document,  
14 so I only saw the abstract and the abstract this is just  
15 as much as they say, so I don't know what other biomarkers  
16 that they are relating.

17 If this is the case, so the group of people that  
18 could be potentially susceptible for these compounds is  
19 bigger, or maybe it could be again in relation to all  
20 people that have some susceptibility to handling of the  
21 ROS and oxidative stress.

22 And last point, they could be -- you had some  
23 questions about whether why are you increasing -- or why  
24 are you taking into consideration increases in activity  
25 for the children, if the children has more of a Th2-driven

1 process, while the TDI induced in asthma is more like a  
2 Th1.

3 Well, it turns out that the oxidative stress that  
4 is involved in the triggering or enhancing of asthma is a  
5 Th2 process, which is what is most prevalent in the  
6 children. So here you -- I think that you explain it --  
7 you address it very well in your document. You gave  
8 enough reasons why their comment was not really that  
9 appropriate, and -- but this is an additional argument,  
10 where -- and in addition to, I think that at the end this  
11 is not really just a pure Th1 or Th2, it's probably mixed,  
12 and you have components of both.

13 CHAIRPERSON KLEINMAN: Are there other comments  
14 before we move on to MDI?

15 PANEL MEMBER RITZ: I'd just like to emphasize  
16 that, yes, if there is a lot of oxidative stress, then we  
17 need to also look at neurotoxicity, especially since we're  
18 saying that there are some possible acute affects that are  
19 being noticed by workers, right?

20 And I saw that there was a 2014 paper by Hughes  
21 that questions neurotoxicity in diisocyanates. So I think  
22 for the future we should probably look out for that.

23 CHAIRPERSON KLEINMAN: Okay. So shall we go on  
24 with the MDI now, please?

25 --o0o--

1 DR. DODGE: Okay. Methylene diphenyl  
2 diisocyanate I'll refer to as MDI.

3 Here, we're discussing two forms of the compound,  
4 MDI and polymeric MDI, or I'll refer to as PMDI. These  
5 are both used mainly in rigid polyurethane foams.

6 Now, the ones that are worked with mostly in  
7 developing polyurethane foams is PMDI. What this is is a  
8 50 -- generally, about a 50 percent mixture of monomeric  
9 MDI and pre-polymers of MDI, mostly the trimer. Then  
10 you'll have a couple -- you know, small percentages of the  
11 higher oligomers.

12 MDI has replaced TDI in a number of processes, in  
13 particular, because it has a lower vapor pressure, so it's  
14 thought that workers will be exposed less by the  
15 inhalation route using this compound. So exposure is  
16 going to occur primarily during spraying applications or  
17 heating.

18 --o0o--

19 DR. DODGE: The toxicity of MDI is qualitatively  
20 similar to TDI. You see acute irritation of lungs, upper  
21 respiratory tract. Symptoms, headaches, sore throat,  
22 cough, chest tightness. In animal studies, you see  
23 respiratory epithelial damage and pulmonary edema. If  
24 exposures are high enough, you see reactive airways  
25 dysfunction in humans, occupational workers.

1           With chronic exposure, like TDI, you can become  
2 sensitized. Like TDI, you have occupational asthma  
3 following a latency period. With MDI you see  
4 hypersensitive pneumonitis. Though this is fairly rare,  
5 it occurs more often than TDI. And some have theorized  
6 that the reason this is more common in MDI is because it  
7 is more lipophilic than TDI, and generally MDI is found  
8 partially as a vapor, partially as an aerosol. And the  
9 aerosol form can find its way deeper into the lung, into  
10 the pulmonary region.

11           --o0o--

12           DR. DODGE: Next slide, so we're on slide 27 now.  
13 This is the -- start the derivation here of the acute REL.  
14 There wasn't human studies available that could really --  
15 we could use to determine acute RELs, so we're basing it  
16 on a rodent study. Quite a bit of work has been done by  
17 Pauluhn. And this is what we base our acute REL on, a  
18 study in rats, where the critical effect is an increase in  
19 total protein an bronchoalveolar lavage fluid.

20           The exposures were 6 hours. Increased protein  
21 was found in the lung lavage fluid at 3 hours  
22 post-exposure. Often peaked at 1 day post-exposure, and  
23 decreased dramatically by 3 and 7 days post-exposure.

24           In this study, there was no NOAEL. The lowest  
25 concentration used, 0.7 milligrams per cubic meter, was a

1 LOAEL. We attempted to do some benchmark dose or  
2 benchmark concentration modeling with this data using  
3 continuous models supplied by U.S. EPA. Could not get a  
4 good line or acceptable line fit to the data. It's --  
5 these continuous models generally are pretty finicky, so  
6 we had to rely on a NOAEL/LOAEL approach.

7 --o0o--

8 DR. DODGE: Onto the next slide. So our point of  
9 departure is 0.7 milligrams per cubic meter. We applied a  
10 6-hour to 1-hour time adjustment, because the acute REL is  
11 based on a 1-hour exposure. We used Haber's Law where CN  
12 times T equals K, where N is one. And this is based on  
13 another study by Pauluhn in which he found concentration  
14 in time are equally important in the effects that are  
15 found in the lung -- in the rodent model he used.

16 We then applied a human equivalency concentration  
17 adjustment. This is a U.S. EPA HEC formula for short.  
18 And the -- we multiplied the HEC, which is 1.2 -- 1.7  
19 times the time-adjusted point of departure.

20 Now, the way this HEC -- or concentration  
21 adjustment is done, it's the RGDR, the regional gas  
22 distribution or deposition ratio. This is the minute  
23 volume in the animal over the surface area of the animal.  
24 This is the pulmonary region specifically, and this is  
25 divided by the minute volume in human divided by the

1 pulmonary surface area. This resulting ratio is 1.7.

2 I also did the RDDR, which is the regional  
3 deposited dose ratio. This is with the assumption that it  
4 could be an aerosol. It basically came out to the same  
5 ratio, because the aerosol particles generated --  
6 apparently it doesn't have -- it isn't a factor -- or it  
7 doesn't result in a different ratio with animal and human.

8 --o0o--

9 DR. DODGE: On to the next slide, the uncertainty  
10 factors applied. A LOAEL to NOAEL uncertainty factor of  
11 root 10 is used. This is because the effect is a mild  
12 effect. Pauluhn found that the most sensitive indicator  
13 of changes in the lung was the change or increase in total  
14 protein in lavage fluid.

15 He found lactate dehydrogenase levels, LDH  
16 levels, increase at roughly a 30-fold or greater  
17 concentrations. And usually this is an indication of cell  
18 injury or cell lysis. So we decided to use a root 10 for  
19 this particular uncertainty factor.

20 Interspecies uncertainty factors. A  
21 toxicokinetic value of 2 is used. This is for any  
22 residual -- it's a default factor that we used for any  
23 residual differences in the toxicokinetic result from the  
24 HEC approach that we just talked about, or it counts for  
25 that.

1           The toxicodynamic is a root 10. And this is  
2 another default that we use when we don't have any  
3 information to inform us on the differences between animal  
4 and humans regarding the toxicodynamic interspecies.

5                           --o0o--

6           DR. DODGE: Next slide, number 30. Our total  
7 intraspecies uncertainty factor is 30. And the breakdown  
8 is toxicokinetic UF of root 10. This is because the  
9 relative pulmonary minute volume to surface area ratio is  
10 3-fold greater in infants compared to adults.

11           The toxicodynamic is a full 10 to address the  
12 toxicodynamic diversity in human population including  
13 sensitive populations.

14           The resulting cumulative uncertainty factor is  
15 600. So we take the adjusted point of departure of 7.2  
16 milligrams per cubic meter and divide it by 600, and we  
17 get a proposed acute REL of 12 micrograms per cubic meter.

18                           --o0o--

19           DR. DODGE: Now I'd like to discuss the 8-hour  
20 REL derivation. This is based on a study in polymeric  
21 MDI. It's a 2-year rodent study. The critical effect is  
22 increased incidence of bronchiolo-alveolar hyperplasia,  
23 and to a lesser extent pulmonary fibrosis. The study I  
24 used was from Feron et al. which was a reexamination of  
25 the original material from Reuzel et al.

1           The reason Feron looked at it again is because he  
2 had access to the histopath slides of two chronic studies  
3 that were done with MDI or PMDI. Okay. This one was  
4 PMDI. The other one he looked at was animals were exposed  
5 exclusively to MDI.

6           So he had pathologists -- the same pathologists  
7 looked at both sets of slides, so he could get a more  
8 consistent finding across the two studies. This study,  
9 the exposure was 6 hours per day 5 days per week, which is  
10 pretty close to what we're looking at for an 8-hour REL.  
11 So this is why we used it for this -- for the 8-hour REL.

12           The other study -- the other chronic study was 18  
13 hours per day 5 days per week. And we thought what was  
14 more appropriate for a chronic REL, which is -- it was  
15 closer to a continuous type exposure. So based on this  
16 particular study, we have the data down here for  
17 hyperplasia. The NOAEL was the lowest dose of 0.19  
18 milligrams per cubic meter, and the LOAEL was the next  
19 highest.

20                               --o0o--

21           DR. DODGE: Go onto the next slide. We did a  
22 benchmark concentration approach to find our point of  
23 departure rather than rely on a NOAEL/LOAEL approach. Our  
24 point of departure is the BMCL05, which is 0.118  
25 milligrams per cubic meter. The multi-stage model was the

1 best of a number of models, in terms of fitting a line to  
2 the data. And this is shown below in the same -- in the  
3 same slide here.

4           The BMCL05 is the 95th percent -- 95th lower  
5 confidence limit on the five percent response rate for  
6 this particular endpoint.

7   --o0o--

8           DR. DODGE: So our point of departure is 0.118.  
9 We do a time adjustment of 6 hours over 24 hours, times 5  
10 days over 7 days, times 20 over 10 cubic meters. So the 6  
11 over 24, 5 over 7 gets us to a continuous type exposure.  
12 Then we adjust it by 20 over 10. Again, this is using the  
13 common assumption that a worker during his 8 hours of work  
14 will breathe half the air -- half the total air he'll  
15 breathe in a day -- in a full day. So that's 10 cubic  
16 meters.

17           We applied -- I applied a HEC adjustment. In  
18 this case, it was an RDDR, which is a regional deposited  
19 dose ratio, because PMDI is primarily an aerosol. It  
20 turned out it didn't really matter, because whether I did  
21 an RGDR or RDDR it was approximately the same value,  
22 because the aerosol droplets were of a size that it -- it  
23 seemed to, you know, mimic in a vapor, or at least deposit  
24 in the same region using the U.S. EPA HEC model.

25           Anyway, the HEC value was 2.26, and I multiplied

1 this by the time-adjusted point of departure to get a  
2 0.01 -- 0.0951 milligrams per cubic meter.

3 The uncertainty factors applied. Toxicokinetic,  
4 again, is a 2 and the toxicodynamic is a root 10,  
5 essentially for the same reasons that these same  
6 uncertainty factors were applied in the acute REL.

7 --o0o--

8 DR. DODGE: Going on to the next slide. The  
9 intraspecies toxicokinetic and toxicodynamic uncertainty  
10 factors are 10 each. Again, this is for the same reason  
11 as the TDI 8-hour and chronic RELs. The 10 is for the  
12 toxico genomic variation. That's for the TK and for the  
13 TD, or toxicodynamic. The 10 is for individual variation  
14 sensitizing potential and increased sensitivity in  
15 asthmatic children, as well as toxicogenomic variation.

16 The cumulative uncertainty factor is 600. So  
17 when the adjusted point of departure is divided by 600,  
18 the result is a REL of -- or proposed REL of 0.16  
19 micrograms per cubic meter.

20 --o0o--

21 DR. DODGE: Next slide talk about the chronic REL  
22 derivation now. This was a study in MDI. And this is the  
23 other study that Feron on looked at side by side with the  
24 other chronic study that we just -- that I just discussed.  
25 In this particular study, the exposure for 18 hours per

1 day, five days per week, closer to what we would like to  
2 see for sort of a chronic REL development or continuous  
3 type 24-hour per day exposure.

4           The critical effect here though is increased  
5 incidence in severity of interstitial fibrosis. This was  
6 seen at the lowest dose of 0.23 milligrams per cubic  
7 meter. And if you look at the data set below, the  
8 response at the lowest dose was quite high, in terms of  
9 incidence compared to the control.

10           --o0o--

11           DR. DODGE: Next slide. We were able to use a  
12 benchmark concentration. We were able to fit a line to  
13 the data, even though there is a large difference between  
14 the zero and the low dose. We had -- we used a BMCL10 in  
15 this case, because the data was not sensitive enough to  
16 find the BMCL05, or a 5 percent response rate. So our  
17 point of departure here is the 95th lower confidence limit  
18 on the 10 percent response rate for interstitial fibrosis.

19           --o0o--

20           DR. DODGE: Next slide. The time adjustment for  
21 the BMCL10, being used as the point of departure, was 18  
22 over 24 hours times 5 days over 7 days.

23           Now, for this chemical, this particular study, it  
24 was found that the generated form of this MDI was  
25 partially in a gaseous formal and partially in a aerosol

1 form, or that's what the animals were exposed to, at least  
2 at the lower doses.

3           So I split the difference here, and I assume that  
4 half was gas, half was aerosol. So that's how I estimated  
5 the HEC here, which was 3.41.

6           The interspecies uncertainty factors, just like  
7 for the 8-hour REL was 2 and root 10. These are defaults.

8                           --o0o--

9           DR. DODGE: And again, the intraspecies  
10 toxicokinetic and toxicodynamic uncertainty factors are 10  
11 each. The resulting cumulative uncertainty factor is 600,  
12 which when divided by the adjusted point of departure  
13 results in a proposed REL of 0.08 micrograms per cubic  
14 meter.

15                           --o0o--

16           DR. DODGE: And here is a summary of the proposed  
17 RELs, acute is 12, 8-hour is 16, and the chronic is 0.08  
18 micrograms per cubic meter.

19                           --o0o--

20           DR. DODGE: Now, if the Chair would like, I could  
21 go on with the comments and responses.

22           CHAIRPERSON KLEINMAN: Well, I'd like to give the  
23 Panel a chance to comment. I just want one point of  
24 clarification on the acute, and the 8-hour RELs. Those  
25 are based on the polymer. Whereas, the --

1 DR. DODGE: Correct.

2 CHAIRPERSON KLEINMAN: And then the other is  
3 based on MDI directly, is that correct?

4 DR. DODGE: Correct.

5 CHAIRPERSON KLEINMAN: Okay. And I just wanted  
6 to ask whether the uncertainty factors used for the  
7 polymer form were adjusted in any way to account for  
8 differences in toxicity or potency of the polymer versus  
9 the monomer?

10 DR. DODGE: Um-hmm. That's another thing Feron  
11 looked at in his 2001 study. He actually thought the --  
12 you know, the one is a chronic study that looked at MDI  
13 and the other one looking at PDM were remarkably similar  
14 considering, you know, that the exposure duration  
15 differences and the concentrations used. And remarkably  
16 similar in the sense that where these compounds seem to  
17 have their major effect in the lung. So he felt okay in  
18 making the comparisons he did.

19 PANEL MEMBER GILL: I have a question in the  
20 sense that the uncertainty factors you have, for example,  
21 the 8-hour REL is -- and the chronic, you're coming to the  
22 same cumulative uncertainty factor of 600. Whereas, there  
23 is -- if you look at the effects that are at the lowest --  
24 at the LOAEL level for the chronic study, there are  
25 significant effects at the lowest level that you have seen

1 compared to the 8-hour study.

2           So my question is how confident are you of the  
3 cumulative data taking the uncertainty factors based on  
4 the substantial difference, which are the LOAEL levels?

5           DR. DODGE: Well, first off, I'd like to say that  
6 in his comparison Feron thought -- proposed that the  
7 reason there seemed to be a greater effect in the study  
8 that exposed the rats to 18 hours per day was because the  
9 animals just didn't have enough time to recover with that  
10 long of an exposure. So he thought that was probably the  
11 main reason there seemed to be an increased potency at  
12 least with this MDI two-year study. More that than a  
13 difference between PMDI and MDI.

14           Does that make sense?

15           PANEL MEMBER GILL: Not really. Well, that's an  
16 explanation he has.

17           PANEL MEMBER HAMMOND: Although if that's true,  
18 if when you make your time adjustment, you know, in a  
19 chronic exposure, there's no time to recover.

20           DR. DODGE: Yes, that's correct. That's one  
21 reason we applied the time adjustment.

22           PANEL MEMBER HAMMOND: I thought the time  
23 adjustment is kind of -- whereas, you're trying to get to  
24 the same concentration, time to time duration total. But  
25 if there's recovery that's important, then the rate of

1 exposure is important as well, and it's important to have  
2 a place for recovery time.

3 CHAIRPERSON KLEINMAN: Are there -- let's throw  
4 this open to the Panel leads to, you know --

5 PANEL MEMBER GILL: Let's finish the comments,  
6 first.

7 CHAIRPERSON KLEINMAN: You want to go through  
8 the --

9 PANEL MEMBER GILL: There aren't many.

10 CHAIRPERSON KLEINMAN: All right. So at that  
11 suggestion, let's go ahead with the response to the public  
12 comments, and then we'll get our comments in.

13 --o0o--

14 DR. DODGE: Okay. We received comments from the  
15 American Chemistry Council.

16 --o0o--

17 DR. DODGE: And comment number 1, page 41 -- or  
18 slide 41. His first comment. Genotypic variation in MDI  
19 metabolic enzymes is not a relevant consideration for  
20 development of RELs for MDI. The formation of glutathione  
21 adduct with MDI is not enzyme mediated. Genetic  
22 polymorphism is not expected to affect adduct formation.

23 Our response. Researchers point out that MDI can  
24 react directly with GSH, and that GSTs, or glutathione  
25 S-transferases, can help facilitate the reaction of GSH

1 with MDI. GSTs are critical in the protection of cells  
2 from reactive oxygen species, which are generated by  
3 diisocyanates.

4 Also, the genomic data indicate that variation in  
5 GST enzyme activities are modifiers of susceptibility to  
6 diisocyanate-induced asthma.

7 --o0o--

8 DR. DODGE: Next comment. The formation on  
9 associations between genes and isocyanate-induced risk are  
10 limited and not consistent. And there are contradicting  
11 reports in the literature for the importance of  
12 N-acetyltransferase reactions.

13 Our response. Several researchers have observed  
14 that genetic variants of antioxidant defense genes for  
15 GSTs and NATs are associated with increased susceptibility  
16 to diisocyanate-induced asthma. However, there are some  
17 contradictions in the literature and we added language  
18 noting this.

19 --o0o--

20 DR. DODGE: Next slide. In this comment, MDI  
21 causes portal of entry effects and available data have  
22 been unable to show that metabolism contributes, in any  
23 significant way, to the immune response effects caused by  
24 MDI.

25 And our response here. A number of researchers

1 believe diisocyanates may react with proteins possibly via  
2 GSH conjugates to form protein conjugates. The protein  
3 conjugates may be immunogenic, and the formation of hapten  
4 complexes may give rise to immunological reactions.

5 Work by Wisnewski et al. indicates that GSH can  
6 act as a shuttle for MDI. Once MDI-GSH is absorbed,  
7 MDI-albumin conjugates are generated via GSH mediated  
8 transcarbamoylation, which exhibit distinct changes in  
9 confirmation and charge.

10 These MDI-albumin conjugates are specifically  
11 recognized by serum IgG of work -- MDI workers with  
12 diisocyanate-induced asthma, suggesting one possible  
13 pathway for MDI to -- in promoting immune responses.

14 --o0o--

15 DR. DODGE: The next slide. Their comment is  
16 even the highest levels of respirable MDI aerosol are a  
17 factor of 2,400 below the 4-hour acute LC50 in animals.

18 And our response is that the adverse effects the  
19 RELs are based on are respiratory irritation,  
20 inflammation, and/or lesions to respiratory tissue, not  
21 lethal concentrations.

22 Our proposed RELs range from 0.08 to 6 micrograms  
23 per cubic meter which is well within the levels generated  
24 during workplace operations.

25 --o0o--

1 DR. DODGE: Next comment. Researchers have shown  
2 that after removal from further exposure, the majority of  
3 individuals with diisocyanate-related asthma show  
4 improvement or totally recover. So at the suggestion of  
5 the commenter, we added more language than we had in the  
6 document about the potential for recovery following  
7 sensitization to diisocyanates.

8 --o0o--

9 DR. DODGE: Next comment. OEHHA failed to review  
10 the recent publication on neurotoxicity, Hughes et al.,  
11 2014, which reviews the Reidy and Bolter study and points  
12 out numerous limitations in this paper that links -- for  
13 links between neurological effects and MDI exposure.

14 In response, we had already noted in the MDI REL  
15 document that there were limitations in the Reidy and  
16 Bolter study. We included a summary of findings by Hugh  
17 et al. -- Hughes et al. in the REL document pointing out  
18 some additional limitations in the Reidy and Bolter study.

19 --o0o--

20 DR. DODGE: Next comment. For the acute 8-hour  
21 and chronic RELs, the use of a 3- or 10-fold interspecies  
22 toxicokinetic uncertainty factor for metabolic variability  
23 is inappropriate because MDI is a direct acting irritant  
24 on lung tissue.

25 Our response is that if a default interspecies

1 toxicokinetic UF is applied when there is little or know  
2 data on TK interspecies differences, whether or not the  
3 chemical is a direct or indirectly acting agent on  
4 respiratory epithelial tissue. This is consistent with  
5 our default uncertainty factor approach used in deriving  
6 RELs.

7 --o0o--

8 DR. DODGE: Next comment. For the acute 8-hour  
9 and chronic RELs, an interspecies toxicodynamic  
10 uncertainty factor of 10 is not appropriate, because  
11 genotypic variations in metabolic enzymes are not relevant  
12 to TD -- or to MDI, and because children should be less  
13 sensitive, not more sensitive to the sensitizing effects  
14 of diisocyanates.

15 Our response is that a number of gene variants,  
16 including glutathione S-transferase enzymes have been  
17 reported to be associated with increased sensitivity to  
18 the disease in workers, which suggests that  
19 diisocyanate-induced asthma represents a complex disease  
20 phenotype determined by multiple genes. Mean OR, or odds  
21 ratio, values were up to 10.

22 Also, it is unknown how children will react to  
23 MDI exposure early in life, when the immune system is  
24 still developing.

25 --o0o--

1 DR. DODGE: And to go on with our response here  
2 on the next slide. Further, OEHHA considers asthma to be  
3 a disease that disproportionately impacts children. Thus,  
4 whether MDI induces asthma or triggers existing asthma in  
5 children, we would use a higher toxicodynamic uncertainty  
6 factor to protect children as we have for other RELs.

7 --o0o--

8 DR. DODGE: The next comment. The 8-hour REL was  
9 derived by OEHHA using a time-adjusted exposure  
10 concentration, 10 over 20 cubic meters, calculated in a  
11 manner inconsistent with OEHHA guidance and practice.  
12 OEHHA is mixing rodent and human exposure approaches in a  
13 less than transparent manner to reduce the standard time  
14 adjustment factor.

15 And in our response, our noncancer guidelines  
16 show that it is appropriate to use a 20 over 10 conversion  
17 factor -- conversion for 8-hour RELs based on a chronic  
18 exposure study.

19 For example, we have used this conversion for  
20 acrolein and acetaldehyde 8-hour RELs that are based on  
21 rat studies with exposure of 6 hours per day 5 days per  
22 week. And as noted in our acetaldehyde REL, the time  
23 adjustment for 8-hour REL used is 6 over 24 hours times 20  
24 over 10 cubic meters, rather than a 6 over 8 hour, because  
25 we assume that the 8 hours includes the active waking

1 period when an adult inhales 10 cubic meters of air, i.e.  
2 half the daily total intake of 20 cubic meters.

3 --o0o--

4 DR. DODGE: Next slide. For the 8-hour and  
5 chronic RELs, OEHHA should transparently indicate that  
6 it's selection of a five percent benchmark response, or  
7 BMR, is a policy decision that results in a 3-fold lower  
8 BMCL than was calculated by U.S. EPA, which used a 10  
9 percent BMR to derive a REL-like value, or RfC, for MDI  
10 with the same data set.

11 And our response is that OEHHA presents our use  
12 of the 5 percent benchmark response, or BMR, in our  
13 non-cancer guidelines, and cites supporting documentation  
14 showing why 5 percent BMR appears to be equivalent to a  
15 NOAEL in a well designed and conducted animal study.

16 A response range of 1 to 5 percent approximates  
17 the lower limit of adverse effect detection likely to  
18 occur in a human Epi study. And in large laboratory  
19 animal studies, the detectable response rate is typically  
20 in the 5 to 10 percent range.

21 --o0o--

22 DR. DODGE: And that concludes that part of the  
23 presentation.

24 CHAIRPERSON KLEINMAN: Okay. Thank you. I'd  
25 like to throw this over to the leads. We'll start with

1 Dr. Gill.

2 PANEL MEMBER GILL: Well, since we had such an  
3 extensive discussion earlier, and those are all still  
4 relevant here, I'm going to just focus on a few issues  
5 actually, because we'll come back to the issues which we  
6 were previously discussing.

7 Now, the first one, just simple things. One, on  
8 page three, I think you got the structure of the MDI  
9 wrong. If I'm not mistaken, there's no isocyanate groups  
10 down there. It should have isocyanate moieties. So on  
11 page three.

12 DR. DODGE: I see the problem. Thanks.

13 PANEL MEMBER GILL: Okay. You need that -- so  
14 okay.

15 And also, similar to my comments in the earlier  
16 part of impurities, I would like to see some section  
17 talking about impurities, because I'm still concerned of  
18 the diamines, because of the synthesis pathways. And the  
19 diamines could be -- since, as I indicated earlier, these  
20 are highly reactive isocyanates, so the diamines could be  
21 an issue. So you need to know approximate impurities of  
22 those particular issues.

23 And as Blanc pointed out, if there is a  
24 sensitization to diamines, which is under his stage --  
25 category 2 type of things, then I think you may want to

1 look on that particular issue.

2           The other one I'd like to bring up that I do not  
3 see any discussion on particulate sizes that are involved  
4 in this. It is -- it would be useful to indicate whether  
5 they're all in vapor phase, which they are not. The  
6 question is how much -- what size the particulates are  
7 because on where the deposition in the lung would be, I  
8 think it would change -- if there is any data that's  
9 available, I think it is appropriate to bring this into  
10 this particular component.

11           Then there are two other things that are -- which  
12 I think would be useful to do is, one, is in the whole  
13 document, anyone actually with TDI, I did not mention  
14 that. I do not see any discussion on adduct formation,  
15 because isocyanates do form adducts in -- a significant  
16 amount of adduct formation, and they see some of this as  
17 spontaneous.

18           While this may not be carcinogenic, it may have  
19 some epigenetic effects. So I would like to see some  
20 discussion, whether there are any of this -- I know  
21 there's literature for other isocyanates in the literature  
22 on adduct formation, so it may be appropriate to discuss  
23 some of that in some form in the document to -- I don't  
24 know whether it has adduct implications, but clearly it  
25 may have, in the longer term, some consequences.

1           The other one is in sensitization. In this  
2 particular case I think there is some data on skin  
3 sensitization, which I did not see much discussion on skin  
4 sensitization. Is there?

5           DR. DODGE: That's correct. The exposures are,  
6 you know, emissions from a facility, and the compound  
7 doesn't last long out in nature before it breaks down. It  
8 seems to me that the exposures are really going to be only  
9 inhalation to the community. There's going to be not  
10 enough of a buildup on any surfaces that would cause one  
11 to think that dermal route, at least outside of worker  
12 exposure studies, where they come in contact with it,  
13 would be an important route.

14           PANEL MEMBER GILL: True, but there are -- in  
15 terms of the form applicators, okay, not in manufacturing,  
16 but in form applicators, you would see some potential  
17 exposure through the skin route, which could lead to a  
18 sensitization, which could lead then to make those  
19 individuals much more sensitive to asthmatic --

20           DR. DODGE: Right, I see your point, yeah.

21           PANEL MEMBER GILL: So I'm talking about those  
22 who were actually not in a facility, but in terms of those  
23 who actually use it. So that exposure of sensitization,  
24 it's not there, as far as I can see. And I think it would  
25 be useful to, at least discuss as a possible route of

1 exposure, not necessarily in terms of development of REL,  
2 but in terms of the overall document as such.

3 DR. DODGE: Okay.

4 PANEL MEMBER GILL: Then I will just -- overall,  
5 I am actually -- to me, the studies were appropriate,  
6 because you don't have human data. You rely essentially  
7 on rodent studies. And I think that is actually  
8 appropriate.

9 And I just would like to also talk about your  
10 comments to the reviewers, the outside comments, which I  
11 think were -- I will just talk about the first two  
12 responses, which is on slides 41 and 42, saying that  
13 glutathione is not involved in MDI metabolism is actually  
14 probably incorrect. And knowing how isocyanates are  
15 metabolized and your response is appropriate.

16 And definitely based on the data that is in TDI,  
17 I do not see why these should not apply also to MDI. The  
18 same criteria would hold, because the genotypes are  
19 likely. And if you look at Jesús's, then also the  
20 response that the T helper cells 2 versus was T helper  
21 cells 1 cause allergic response versus non-allergic  
22 response, and so therefore children are also  
23 susceptible -- are sensitive is also appropriate in this  
24 particular case. So I think those comments that you made  
25 are -- in response to the outside reviews are actually

1 valid.

2           There are a couple of studies which you have  
3 missed, and I've actually noted them. I will give it to  
4 you. One of them is also I think a more general  
5 discussion by Wisniewski recent article I think last year  
6 or so, so -- but those are minor issues. That's all I  
7 have.

8           CHAIRPERSON KLEINMAN: Thank you.

9           Dr. Buckpitt.

10          PANEL MEMBER BUCKPITT: Okay. I don't have a lot  
11 to add to that. I agree with your assessments. I'd be a  
12 little tougher on the ACC in terms of their comment with  
13 glutathione transferase. It's correct that MDI will react  
14 non-enzymatically, but most of those reactions are  
15 catalyzed by glutathione transferases, even very reactive  
16 things like N-acetyl-para-benzoquinone. Some of that  
17 reaction is catalyzed enzymatically. So it's not just the  
18 soft electrophiles that --

19          PANEL MEMBER GILL: It's just the rate increases.

20          PANEL MEMBER BUCKPITT: It's the rate.

21          PANEL MEMBER GILL: Rate issue. So you're  
22 correct what to do, but --

23          PANEL MEMBER BUCKPITT: So they are way off base.  
24 Just tell them that it's not all non-enzymatic.

25          As with the TDI, I would make more of an issue of

1 the conjugation of the glutathione and the use of that as  
2 the transport. So you had some in your document. I'd  
3 certain put it in my figure, because that may be a  
4 significant mechanism for essentially conversion of the  
5 MDI, and I think bears on the genetic variance that you  
6 talk about later.

7           And then finally, Table 3. If we look at that,  
8 there's no indication of how these data were binned. In  
9 other words, what constitutes mild fibrosis? And were  
10 those sirius red staining, was it hydroxyproline levels,  
11 how were those determined? So you might put that in  
12 your -- as a footnote to --

13           DR. DODGE: Okay. This is in reference to the  
14 two chronic studies?

15           PANEL MEMBER BUCKPITT: Yeah.

16           DR. DODGE: Okay.

17           PANEL MEMBER BUCKPITT: So Table 3, and I think  
18 Table 4 as well. Just indicate what criteria were used to  
19 bin those data. And then I had some minor comments that  
20 I'll just simply pass to you.

21           DR. DODGE: Okay. Thank you.

22           CHAIRPERSON KLEINMAN: Okay. I understand that  
23 Dr. Ritz has to leave in about 15 minutes, so I'd like to  
24 give her an opportunity to comment.

25           PANEL MEMBER RITZ: So for the -- there wasn't

1 much epidemiology. It was mostly case studies. So I  
2 don't have much. But what I also saw in the other  
3 document was that Table 9 of this one, where you're  
4 referencing all of the odds ratios, it's really not very  
5 clear what they represent. Are they interactions, or are  
6 they subgroup odds ratios, and also what the genetic model  
7 is that they're assuming here. There's probably a little  
8 bit of information you could add just to make it easier to  
9 read, and also to reword maybe the heading of the table so  
10 that it's easier.

11 DR. DODGE: Okay.

12 PANEL MEMBER RITZ: Otherwise, I don't think I  
13 have anything else in this one. Oh, yes. When you're  
14 stating the reference levels, you're often jumping between  
15 scales. Just make sure that you always reference the ppm  
16 or ppb, as well as the microgram and milligram, because I  
17 think for the reader that makes it just much easier.

18 CHAIRPERSON KLEINMAN: Thank you.

19 Dr. Anastasio, I think you have to leave earlier  
20 as well, so if you'd comment.

21 PANEL MEMBER ANASTASIO: Sure, I'd be happy to.

22 One comment was on page 34, you're talking about  
23 the gas versus particle partitioning of MDI. And you --  
24 let me go back to that page. You're calculating what  
25 fraction of the MDI is in the vapor phase. From this

1 paragraph, it sounds like you're only considering the  
2 vapor phase for the toxicity, but later you actually talk  
3 about both the vapor and the particle phase toxicity. So  
4 my suggestion is on the paragraph on the top of page 34,  
5 indicate that even though you're separating particle from  
6 vapor, you're going to consider the toxicity of both  
7 later. You're not discounting the particle phase.

8 DR. DODGE: No, I'm not.

9 PANEL MEMBER ANASTASIO: Yeah. From the text,  
10 initially I thought you were going to only consider the  
11 vapor phase. Does that make sense?

12 DR. DODGE: Right, no, no.

13 PANEL MEMBER ANASTASIO: So I think if you just  
14 clarify that both are toxic and that you're just looking  
15 at the partitioning here on the top of 34.

16 DR. DODGE: Okay.

17 PANEL MEMBER ANASTASIO: I had two other kind of  
18 bigger picture, maybe not so easily definable questions.  
19 And both actually were raised by Paul. One is the  
20 question of analysis. You know, you talk in the MDI  
21 document how certain analytical methods cannot see the  
22 polymeric forms of MDI. And I think that's a big issue.  
23 I understand Melanie's point that, you know, the toxic  
24 compound as defined in the regulation is the MDI monomer,  
25 but it does seem a big oversight, if we can't also

1 include, you know, the amounts of the polymeric form. And  
2 then -- so I don't know if there's an answer to that, but  
3 I guess I would encourage OEHHA somehow to include other  
4 forms of the monomer in the overall concentration that  
5 is -- that is part of the REL.

6 DR. DODGE: I believe we can go after both in our  
7 regulation. We'll take another look at that again, but  
8 I'm -- that's why I included both.

9 PANEL MEMBER ANASTASIO: Okay. Yeah, that would  
10 be great.

11 The other point I had was raised initially in the  
12 TDI document, how you're saying essentially there's  
13 cross-sensitization. Certain individuals can be  
14 sensitized with TDI, but then show that sensitivity with  
15 another diisocyanate. And so the bigger picture issue I'd  
16 like to raise is it would be nice to have some total  
17 diisocyanate regulation. Now I know this is not your  
18 responsibility, but I'd like to at least raise the issue  
19 that, you know, rather than having -- well, sorry, not  
20 rather, but in addition to having RELs or individual  
21 diisocyanates, it would be great to have some reference  
22 level for total diisocyanates.

23 DR. DODGE: Yeah. Some researchers have  
24 attempted to do that, how many NCO groups are there in the  
25 total mass.

1 PANEL MEMBER ANASTASIO: Right.

2 DR. DODGE: And some -- and especially with HDI,  
3 hexamethylene diisocyanate, they -- some researchers have  
4 looked at that more carefully. And they found some  
5 differences in toxicity, so that may not hold -- that kind  
6 of relationship may not hold real well in all cases.

7 PANEL MEMBER ANASTASIO: Okay.

8 CHAIRPERSON KLEINMAN: Thank you.

9 Dr. Araujo.

10 PANEL MEMBER ARAUJO: Okay. So I have some  
11 comments, and where I do agree with Sarjeet in suggestions  
12 of -- and do give more consideration to the chemistry and  
13 to the formation of the adducts. And that should have  
14 been mentioned also in the previous one, and not because  
15 we -- and also if you put it in one, I think you should be  
16 putting it also in the other.

17 And let me raise a question here, because several  
18 papers show the majority of these effects based on the  
19 TDI, and then because we're assuming that the isocyanates  
20 or the MDI will have a similar chemistry, so we ended --  
21 so they ended up like assigning papers that were based on  
22 a TDI like for the MDI toxicity. Is it something that is  
23 appropriate?

24 And if it is, shouldn't we have a disclaimer  
25 where we are making these assumptions or where there is a

1 paper where it says that the isocyanate chemistry or  
2 toxicity is probably common to the various types, such as  
3 the MDI, TDI, and the others? Because otherwise, it just  
4 look like it's an extrapolation.

5 One of the comments that it says and that we  
6 shouldn't really be involved in the GSTs. Maybe it's  
7 because the papers are really referring more to the TDI  
8 than the MDI or not.

9 PANEL MEMBER BUCKPITT: (Shakes head.)

10 PANEL MEMBER ARAUJO: These are directed to the  
11 MDI.

12 PANEL MEMBER BUCKPITT: There's very good  
13 evidence of MDI -- there's very good evidence with MDI. I  
14 think there was a 2013 paper in ChemBio Interactions. And  
15 those adducts have been well characterized.

16 PANEL MEMBER ARAUJO: Good. So if the references  
17 can be just -- either references can be compound specific  
18 for the different documents, it could be better. If they  
19 cannot compound specific or in one of the documents we  
20 ended up like using references for the other, I think that  
21 a disclaimer should be done about the similarity or the  
22 extrapolation or the common chemistry. What do you think?

23 PANEL MEMBER GILL: I think it's best if you keep  
24 the specific compound involved, in particular cases, if  
25 you can. If you cannot, then I think you must have a

1 disclaimer because it's -- otherwise it's unfair saying  
2 that this chemical chemistry applies to the other. We  
3 cannot generalize it. We have to be more specific to a  
4 particular compound. And in most cases, it can be done.  
5 So I don't think that's a problem.

6           For most of the data that is presented, as I can  
7 see, has been specific to MDI and the other one specific  
8 to TDI. Now, that's why, for example, the data which they  
9 used in terms of the REL and all that, because it's  
10 applicable only to MDI. There's no equivalent data that  
11 is -- so you cannot transfer in that case. So I think in  
12 most cases, the data is sufficient to --

13           PANEL MEMBER ARAUJO: So that's good.  
14 Especially, if we can do that with the metabolism and the  
15 GSTs and the formation of adducts.

16           I'm finding some difficulties to make a dose  
17 distinctions, like let's say with genotypic and variance.  
18 And they -- you put a table in the previous document, and  
19 with the various studies, where they are cited, right, and  
20 you put the references.

21           You're not saying anything here. And you're just  
22 mentioning one sentence, and where you are justifying the  
23 genotype in a number of instances including GST, NET, and  
24 epoxide hydrolase to justify one of the factors that  
25 you're using, but you're not referencing that.

1           And one of the problems that I see using the  
2 references is that I went back to that table. And under  
3 those studies, and in particular the main study or one of  
4 the studies, you know, it's like -- you're publishing a --  
5 in a Journal of Allergy, it is just difficult. I mean,  
6 it's pretty much like a review of studies. The majority  
7 of the studies are actually based on the TDI. They  
8 talk -- it's -- they mention MDI twice. And when they  
9 reference it, they reference -- they reference to  
10 isocyanate. So it's not clear to me when they're talking  
11 about TDI, when they're talking about MDI, when they could  
12 even do an extrapolation.

13           So it seems to me that you will need to go to the  
14 references -- to the original references and reference  
15 them properly, and not when you are really referring to  
16 the TDI and when you're referring to the MDI, or, if --  
17 again, if an extrapolation is made, you should say it.

18           And I would say also that in addition of in  
19 adding the comments on the adduct formation and importance  
20 of the GST metabolism, so these could be an opportunity in  
21 the previous case of including the comments about  
22 inter-cellular signaling, Rf2, HO1, antioxidant genes and  
23 oxidative stress, I don't know, and I haven't found,  
24 similar references with MDI.

25           However, some of the comments in there I think

1 they are probably an extrapolation like from the TDI. And  
2 if it is extrapolations, it could be likely that oxidative  
3 stress could be used, but I haven't seen any reference  
4 that supports that.

5 And what else?

6 Oh, okay. And please instruct me on this. I  
7 just couldn't -- so you showed us two studies, so the  
8 Hoymann et al. from 1988 and the Feron et al. from 2001,  
9 as your leading studies for the -- as base for the chronic  
10 RELs.

11 When I look at the data that you show in the  
12 document for the Hoffman et al., I was impressed by the  
13 incidence of some of the findings, like interstitial  
14 fibrosis in just the controls when the exposure is 0, and  
15 the differences in between the two different studies. The  
16 reason why you're choosing a LOAEL of 0.23 is because  
17 Hoymann uses 0.23 and he finds toxicity at that  
18 concentration.

19 However, if you look at the controls again, it is  
20 very high. Unfortunately, I don't have access to that  
21 study. It is in a proceedings book or something like  
22 that, so I couldn't really have access to the document of  
23 the study of Hoymann et al. to see what is the control  
24 group made of.

25 If the control group is just -- this is not the

1 work -- this is not a model of pulmonary fibrosis, were  
2 you expecting already to see some degree of pulmonary  
3 fibrosis on top of which you are evaluating the effect of  
4 the MDI, this makes me think that this is particularly  
5 high. So I don't know what was going on in that study,  
6 and I wonder whether we're really -- whether we're  
7 just -- they ended up, like I was saying, an unusually  
8 increased level of toxicity that it could have been seen  
9 if the study did really -- didn't show some increased  
10 sensitivity.

11 I don't know if I'm explaining myself well.  
12 Let's go to Table 6, for example, okay? Look at the  
13 Reuzel 8-hour study. The interstitial fibrosis at  
14 exposure consideration 0, which is a control, is 2 out of  
15 59 animals. So that's pretty low. The interstitial  
16 fibrosis is the control -- controls of the Hoymann is 10  
17 out of 80.

18 So, in general, animals don't really get  
19 interstitial fibrosis with short exposures of just  
20 receiving nothing, right? So I think that you want to say  
21 something, Alan. Please do.

22 PANEL MEMBER BUCKPITT: That may be the assay  
23 used to detect fibrosis. So if it's a sirius red  
24 staining, you may see that on the controls where you  
25 really don't have a fibrotic lesion. So I think it's

1 important again that you look at what the criteria were  
2 for saying that you had a fibrotic lesion in those lungs.  
3 But I agree, those numbers are quite high in the controls.

4 PANEL MEMBER ARAUJO: So they could be misquoting  
5 that.

6 PANEL MEMBER BUCKPITT: Exactly.

7 PANEL MEMBER ARAUJO: And if they're misquoting  
8 that in the controls, they could be misquoting that also  
9 in the -- in the others.

10 PANEL MEMBER BUCKPITT: (Nods head.)

11 PANEL MEMBER ARAUJO: So how reliable could that  
12 study be?

13 PANEL MEMBER BUCKPITT: Again, that's why you  
14 have to go back and see what the criteria were.

15 PANEL MEMBER ARAUJO: Why did you choose that  
16 study?

17 DR. DODGE: The Hoymann study?

18 PANEL MEMBER ARAUJO: (Nods head.)

19 DR. DODGE: Because it was 18 hours per day  
20 exposure, which is closer to what we're looking at for a  
21 continuous type exposure with the chronic REL.

22 PANEL MEMBER ARAUJO: Did you -- I assume that  
23 you really went through the paper, because you extracted  
24 all the data and tabulated and all that. And should you  
25 revise or go back again and see how trustable it could be

1 or maybe like -- I don't know if you get some advice of  
2 some specialist in the area. By no means, am I, you know,  
3 a pulmonary pathologist, but if this is not a reliable  
4 study and it shows just an unusually high of something  
5 that it shouldn't have happened, I don't think we should  
6 be using that study for regulations.

7 DR. DODGE: I can go back and look. I don't  
8 recall exactly what Feron said about it when he looked at  
9 those slides, you know, alongside the other Reuzel slides.

10 PANEL MEMBER ARAUJO: So just make sure that you  
11 have somebody who really is knowledgeable in this, and  
12 attests that this is a solid study that can be used for  
13 this purpose. Otherwise, I think that is is --

14 DR. DODGE: I'll have to take a look. I assume  
15 that he thought they were justifiable to use, you know, to  
16 make comparisons when he did his 2001 study. Again, I  
17 don't recall what he says.

18 PANEL MEMBER ARAUJO: Okay.

19 CHAIRPERSON KLEINMAN: I think when you look at  
20 Table 6 there is a little bit of comfort in the fact that  
21 there is, with increasing dose, also an increasing degree  
22 of severity of the lesions. So even if they have a high  
23 baseline for whatever assay they were using, there is a  
24 real dose response relationship here. So there is some  
25 comfort to that.

1           Jesús, do you have any other comments?

2           PANEL MEMBER ARAUJO: No. Yeah, that's it.

3 Thanks.

4           CHAIRPERSON KLEINMAN: Okay. I'd like to give  
5 our colleagues on the phone a chance. Are you there?

6           PANEL MEMBER GLANTZ: Yes. Hello.

7           PANEL MEMBER HAMMOND: Sorry, we were muted.

8           CHAIRPERSON KLEINMAN: Thank you.

9           PANEL MEMBER HAMMOND: What was the question?

10 What do we have to say?

11           I just have a few comments. I notice that the  
12 document says that the MDI emissions from facilities  
13 declined 80 percent from whatever it was, 2008 to 2010.  
14 Were some factories shut down or something, do we know?  
15 How does that estimate change, just curious?

16           DR. DODGE: Which page are you referring to?

17           PANEL MEMBER HAMMOND: I'm on page four just  
18 above metabolism, the sentence just before that. And in  
19 two years it looks like it declined 80 percent. I was  
20 just curious why, how?

21           DR. DODGE: Yeah, that is a good point. That  
22 I'll have to correct because of recent information we  
23 got --

24           PANEL MEMBER HAMMOND: Oh, okay.

25           DR. DODGE: -- which suggests that not all

1 facilities are being -- have to report their emissions  
2 every year. In fact, like every --

3 PANEL MEMBER HAMMOND: Oh, okay. Yeah, so maybe  
4 we should get that a little clearer.

5 DR. DODGE: Yeah, I'm glad you pointed that out.  
6 That does need to be fixed.

7 PANEL MEMBER HAMMOND: And if -- I think there  
8 should be something about what measurements have been made  
9 in the community. And if there are none, it needs to be  
10 said.

11 DR. DODGE: Okay.

12 PANEL MEMBER GLANTZ: Well, this is Stan. Again,  
13 this is not the stuff I usually have a lot to say about,  
14 so I've enjoyed listening to the conversation.

15 I guess the one thing I would just put in the  
16 record on both this one and the first report is that  
17 subject to the issues that Paul Blanc raised that you're  
18 going to explore further, I think that the application of  
19 the uncertainty factors was certainly consistent with the  
20 policies that we've developed over the years, and that's  
21 something I do know about.

22 And so I think that the way that OEHHA handled  
23 the criticism of the use of the uncertainty factors was  
24 appropriate.

25 Again, I think if on further investigation the

1 issues that Paul raised lead you to be able to move beyond  
2 the defaults, then obviously you should do that. So  
3 that's all I have to contribute.

4 Paul had to leave for a few minutes, so he's not  
5 here. So that's everyone at UCSF.

6 CHAIRPERSON KLEINMAN: Very good. So we have  
7 some very specific comments. And what I'd like to ask the  
8 Panel members to do is for things like changes to the text  
9 or additional references to put those in writing and get  
10 those back to OEHHA within the next week or so, so that  
11 they can take those into account in their revision of the  
12 document.

13 And if you have -- you know, if there are no  
14 other specific changes that we want to discuss here at the  
15 meeting, you know, I think we have basically had a very  
16 good discussion of, you know, some of the issues raised  
17 here. And I think there are some overarching issues that  
18 really could be considered perhaps in the next version of  
19 the reference documents -- not the reference, but the  
20 guidelines, how to deal specifically with sensitizing  
21 agents, and perhaps a little bit more information on some  
22 of the issues.

23 You do mention thermal degradation with respect  
24 to MDI, but none of that was in the TDI document. And I  
25 think it does represent some source of exposure, both to

1 workers and to the general public. So I think at least  
2 making that comment or putting it out there could be  
3 helpful.

4 DR. DODGE: Okay. So, Dr. Kleinman, I'll be  
5 relying strongly on the transcripts that come. If the  
6 Panel members want to send me additional information that  
7 maybe wasn't covered as well or they want additional --  
8 something else for me to work on, you can probably have  
9 them send me something, but otherwise I'll be  
10 concentrating on the transcripts and what was said today  
11 to answer questions.

12 CHAIRPERSON KLEINMAN: Right, but Dr. Gill had  
13 mentioned he had some references, and I think --

14 DR. DODGE: Correct, yes.

15 CHAIRPERSON KLEINMAN: -- Jesús also had. So  
16 those are the sorts of things that I think would be  
17 helpful for you.

18 All right. Well, the State law asks OEHHA to  
19 seek our advice and recommended changes, and I believe  
20 we've satisfied that obligation. So I think we can  
21 successfully say we've considered this, and we've -- you  
22 now have some information to work with.

23 I believe that we have some information now on  
24 what are some of the next items that will be coming down  
25 the pike for the Panel to be reviewing. So we'll have a

1 2015 update. So I wasn't -- are you going to --

2 DR. BUDROE: Yes, Dr. Kleinman. I certainly  
3 will. The four documents that we expect to bring before  
4 the Panel in 2015 will be of -- the first one will be  
5 carbonyl sulfide acute 8-hour and chronic REL document.  
6 The second one will be ethylene glycol monobutyl ether,  
7 and that will be a REL document. There will be a REL  
8 document for toluene. And then finally, there will be a  
9 cancer potency factor document for tert-butyl acetate.

10 And I've been reminded that we will be bringing  
11 this document back before the Panel.

12 CHAIRPERSON KLEINMAN: Very good. I don't  
13 believe we've got a date set for the next meeting. I  
14 guess that will be decided on later.

15 So I wanted to give the Panel an opportunity if  
16 there are other matters that should be brought up at the  
17 moment?

18 We do have an opportunity to discuss either  
19 administrative issues or anything else related to our  
20 documents?

21 PANEL MEMBER BLANC: This is Paul Blanc here.

22 Just in terms of prioritization, which has been  
23 something we've talked on and off about for years, where  
24 do we stand on that? There's been various attempts to  
25 prioritize, particularly problem-ridden toxic substances.

1 And I know that there are some other efforts at the CalEPA  
2 level at least to develop priority lists. And are we  
3 synchronized in that way?

4 DR. MARTY: Hi, Paul. This is Melanie. So at  
5 one point several years ago, the ARB was working on a  
6 methodology to prioritize chemicals as candidate toxic air  
7 contaminants. They dropped that project, and it's, as far  
8 as I know, on the back-burner somewhere. So I can't  
9 really tell you what's going on with that. That's really  
10 a question for the Air Board.

11 So okay that would be for chemicals that are not  
12 yet toxic air contaminants. So that's one area of  
13 prioritization that the Panel, at one point, had been  
14 involved in, and that program -- or that process isn't  
15 moving anywhere.

16 Then another thing that we have been doing is  
17 through this process of trying to get more reference  
18 exposure levels to apply for risk assessment and more  
19 cancer slope factors, so there's a couple of things that  
20 happened. We communicate with the air districts do they  
21 have a facility that's emitting something that they need  
22 either a reference exposure level for to deal with it or a  
23 slope factor to estimate risk?

24 So that's one thing that we do routinely. We  
25 work with the Air Board also asking the same questions.

1 So there are -- there's a long list of chemicals listed  
2 under the Hot Spots Program that have no numbers, so they  
3 are not dealt with in risk assessment.

4 PANEL MEMBER BLANC: And is there a point at  
5 which you'd want some structured input from the SRP in  
6 terms of what our take might be if we had our druthers on  
7 prioritization among those?

8 DR. MARTY: Well, we haven't talked about looking  
9 at that. So that's something that the, you know, Panel  
10 can discuss and we'll discuss with ARB and the districts.  
11 If we want to figure out a way to, you know, have some  
12 special meeting on that and how would you approach that,  
13 and -- so that is something that could happen.

14 PANEL MEMBER GLANTZ: Yeah. Well, this is Stan.  
15 I mean as one of the people who's pushed the  
16 prioritization question on and off forever, I think it  
17 would be good to put that on the agenda for the next  
18 meeting to sort of review what the prioritization is. I  
19 mean, the previous times this has come up the discussions  
20 did lead to some changes in prioritization. And because  
21 preparing these documents takes so long and takes so much  
22 resources, I think, you know, having some input to make  
23 sure that the most important things are being addressed  
24 first would be a good idea.

25 So I think, you know, we should just -- whenever

1 we have the next meeting, hopefully to finish off these  
2 two RELs we talked about today, to have that be something  
3 that's on the agenda and where we get something to look at  
4 in advance of the meeting would be a good idea.

5 DR. MARTY: Okay. And Stan, you just reminded me  
6 of another piece that the Panel looked at, and that was  
7 when we -- it was 2001, we prioritized the toxic air  
8 contaminants under the SB 25 process.

9 PANEL MEMBER GLANTZ: Right.

10 DR. MARTY: And so I think you were actually the  
11 lead on that document, so we --

12 PANEL MEMBER GLANTZ: Yeah, I was. And, you  
13 know, if there's a need, that's one of the things I kind  
14 of ended up leading a lot of. And if you need any input,  
15 I'm happy to -- you know, in terms of getting ready for  
16 the Panel, I'm happy to work with you guys on that.

17 DR. MARTY: Okay. Sounds good.

18 PANEL MEMBER GLANTZ: But as you recall, I mean,  
19 every time we've done this, the priorities did get shifted  
20 around some. So again, because there is such a lot of  
21 work that goes into these things, we want to make sure  
22 that the most important things are being looked at first.

23 DR. MARTY: Okay. So I think an easy thing would  
24 be to say what we've prioritized for the last decade or so  
25 and how and what the input was. And then --

1           PANEL MEMBER GLANTZ:  Yeah, and also to look at  
2 what's on the list --

3           DR. MARTY:  Right.

4           PANEL MEMBER GLANTZ:  -- you know, to -- and take  
5 a look at that, and, you know, see if we have any  
6 suggestions for shuffling things around on that.

7           PANEL MEMBER BLANC:  And then similarly --  
8 Melanie, this wouldn't be for you, but for the -- for  
9 Peter and the Panel, is I'd like to have somebody come  
10 back to us from the Pesticide group and tell us what their  
11 planning on bringing to us, because there's been radio  
12 silence from the Department of Pesticide Regulation for  
13 several years.

14           So I think that would be something our Chair  
15 would need to work with staff to -- but not with OEHHA,  
16 because it's not OEHHA's -- it would be only indirectly  
17 OEHHA.

18           CHAIRPERSON KLEINMAN:  This is Mike --

19           PANEL MEMBER BLANC:  OEHHA, you don't have  
20 anything that's in development from the Pesticide people  
21 that you're commenting on currently, do you?

22           DR. MARTY:  Sorry.  Not currently under the TAC  
23 Program.  We have documents we comment on, but they're not  
24 related -- they're pesticides that are not undergoing  
25 review as TACs.  So we have not seen a TAC document from

1 the DPR in awhile.

2 PANEL MEMBER BLANC: Yeah. So I think that's  
3 always been --

4 PANEL MEMBER HAMMOND: They need a little  
5 prodding.

6 PANEL MEMBER BLANC: And since John isn't -- John  
7 Froines isn't here to say it, so I figure I've got to  
8 bring it up.

9 And then finally, I think in light of our  
10 conversation today, you know, it's been a long time since  
11 we've had a meeting that was focused on scientific  
12 understanding with a regulatory or risk assessment bent to  
13 it. And I do think that the challenges that came up today  
14 with sensitizers and how they fit into the general  
15 paradigm of risk assessments, if we were ever to have a  
16 content theme-based session where we brought in outside  
17 expertise, I think that would be helpful to the Panel  
18 on a -- and I think it would be helpful to OEHHA as well.  
19 I know that takes a lot of advanced planning, but I'm not  
20 saying we should do that this spring or this summer.

21 CHAIRPERSON KLEINMAN: Stan, I think that's a  
22 great idea organizing a workshop around a specific topic  
23 like that could be very beneficial.

24 On the pesticide issue, I'll work with Jim and  
25 Peter and see if we can't get an update on what Pesticide

1 management is considering for the near future. And --

2 PANEL MEMBER BLANC: Well, I'd like to move that  
3 we adjourn. Paul Blanc here.

4 CHAIRPERSON KLEINMAN: That was my next word.

5 PANEL MEMBER BLANC: Is there a second?

6 PANEL MEMBER BUCKPITT: Second.

7 (Ayes.)

8 CHAIRPERSON KLEINMAN: I don't even think we need  
9 a vote. I declare us adjourned.

10 (Thereupon the California Air Resources Board,  
11 Scientific Review Panel adjourned at 12:53 p.m.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the  
5 foregoing California Air Resources Board, Scientific  
6 Review Panel meeting was reported in shorthand by me,  
7 James F. Peters, a Certified Shorthand Reporter of the  
8 State of California;

9 That the said proceedings was taken before me, in  
10 shorthand writing, and was thereafter transcribed, under  
11 my direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or  
13 attorney for any of the parties to said meeting nor in any  
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand  
16 this 17th day of February, 2015.

17  
18  
19  
20 

21  
22  
23 JAMES F. PETERS, CSR  
24 Certified Shorthand Reporter  
25 License No. 10063