

TELECONFERENCE MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
AIR RESOURCES BOARD  
SCIENTIFIC REVIEW PANEL  
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY  
SIERRA HEARING ROOM, 2ND FLOOR  
1001 I STREET  
SACRAMENTO, CALIFORNIA

SHERATON GRAND HOTEL  
301 EAST NORTH WATER STREET  
CHICAGO, IL 60611

FRIDAY, MARCH 4, 2016  
9:30 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson

Cort Anastasio, Ph.D.

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Stanton A. Glantz, Ph.D.(via teleconference)

S. Katharine Hammond, Ph.D.

Beate R. Ritz, M.D., Ph.D.

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. Melanie Marty, Deputy Director, Division of Scientific  
Affairs

Dr. John Budroe, Chief, Air Toxicology Risk Assessment  
Section

Dr. Jim Collins, Air, Community and Environmental Research  
Branch

Dr. Daryn Dodge, Air, Community and Environmental  
Research Branch

Dr. John Faust, Chief, Air, Community and Environmental  
Research Branch

A P P E A R A N C E S C O N T I N U E D

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. Rona Silva, Air, Community and Environmental Research  
Branch

Dr. Jianming Yang, Staff Toxicologist, Air, Community and  
Environmental Research Branch

I N D E X

PAGE

1. Review of "Carbonyl Sulfide Reference Exposure Levels" - SRP Review Draft (May 2015) 5

Office of Environmental Health Hazard Assessment (OEHHA) staff will present to the Panel their draft technical support document summarizing the toxicity and the derivation of proposed acute, 8-hour, and chronic reference exposure levels (RELs) for carbonyl sulfide. RELs are airborne concentrations of a chemical that are not anticipated to result in adverse noncancer health effects for specified exposure durations in the general population, including sensitive subpopulations.

OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360 (b)(2)). After the Panel's review, the document will be added to Appendix D1 of the "Air Toxics Hot Spots Program Technical Support Document for the Derivation of Noncancer Reference Exposure Levels," adopted by OEHHA in 2008.

2. Review of "Ethylene Glycol mono-n-Butyl Ether Reference Exposure Levels" - SRP Review Draft (January 2016) 65

OEHHA staff will present their draft technical support document summarizing the toxicity and derivation of proposed acute, 8-hour, and chronic RELs for ethylene glycol mono-n-butyl ether (EGBE). After the Panel's review, the document will be added to Appendix D1 of the "Air Toxics Hot Spots Program Technical Support Document for the Derivation of Noncancer Reference Exposure Levels" adopted by OEHHA in 2008.

I N D E X C O N T I N U E D

PAGE

3. Consideration of administrative matters.

The Panel may discuss various administrative matters and scheduling of future meetings. 136

Adjournment 136

Reporter's Certificate 137

1 P R O C E E D I N G S

2 CHAIRPERSON KLEINMAN: I'll call the meeting to  
3 order. Now, we can start.

4 And I'm Mike Kleinman. I'm the Chair of the SRP.  
5 And on the phone today we have Dr. Glantz from Chicago.  
6 And in order to make sure that the record shows our, you  
7 know, attendance, what I'd like to do is start with Dr.  
8 Glantz and then go around the table, so everybody can  
9 briefly introduce themselves.

10 So, Stan, would you please, start?

11 PANEL MEMBER GLANTZ: Sure. I'm Stan Glantz  
12 obviously. I'm a professor of medicine at UCSF. And I'm  
13 happy to be on the phone with you from Chicago, where it's  
14 actually precipitated some, so it's very exciting.

15 CHAIRPERSON KLEINMAN: Thank you.

16 PANEL MEMBER GLANTZ: I'm also right across from  
17 the Trump Tower.

18 (Laughter.)

19 CHAIRPERSON KLEINMAN: Is that symbolic?

20 PANEL MEMBER GLANTZ: I guess. I guess. I think  
21 he's going to call it President Trump Tower.

22 PANEL MEMBER HAMMOND: Is it huge?

23 (Laughter.)

24 PANEL MEMBER RITZ: Let's not go there.

25 CHAIRPERSON KLEINMAN: Thank you.

1 Alan.

2 PANEL MEMBER BUCKPITT: Good morning. This is Al  
3 Buckpitt, former faculty member at UC Davis, now retired.

4 PANEL MEMBER HAMMOND: Katharine Hammond, faculty  
5 member from UC Berkeley, School of Public Health.

6 PANEL MEMBER BLANC: Paul Blanc. Professor of  
7 medicine at the University of California, San Francisco.

8 CHAIRPERSON KLEINMAN: Mike Kleinman, University  
9 California, Irvine, Department of Medicine.

10 PANEL MEMBER RITZ: Beate Ritz, Department of  
11 Epidemiology at UCLA and Center for Occupational and  
12 Environmental Health at UCLA.

13 PANEL MEMBER ANASTASIO: Corte Anastasio,  
14 Department of Land, Air, and Water Resources, UC Davis.

15 CHAIRPERSON KLEINMAN: Okay. Thank you very  
16 much. The Panel is going to address two agenda items for  
17 this meeting. We'll be talking about two RELs that have  
18 been prepared and have been submitted for review. But  
19 before we start that part of the meeting, I'd like --  
20 we're going to hear a presentation from the staff at  
21 CalEPA and OEHHA about the recent passing of Dr. George  
22 Alexeeff. And I would like to invite Melanie Marty to  
23 start it off.

24 DR. MARTY: Thanks, Mike. We just wanted to take  
25 a few minutes to recognize George Alexeeff, our late

1 Director. This is the first time the Panel has been  
2 together in person since George passed. And George really  
3 had a special place in the Air Toxics Program. He came  
4 before this Panel many, many times over the years.  
5 Started work for the California Department of Health  
6 Services, which is where OEHHA used to be before the --  
7 before CalEPA was started.

8 And he started work in the Air Toxics Unit in  
9 this particular program. He became unit chief, then  
10 section chief, branch chief, and eventually the Deputy  
11 Director for the Science Division and ultimately the  
12 Director for OEHHA.

13 He really loved his work, and he was a passionate  
14 public health scientist and committed to using science to  
15 protect the public and the environment. And during his 30  
16 years of service, he became respected for his integrity  
17 and his advancement of risk assessment tools. So on top  
18 of that, he was just a great guy and a good friend and a  
19 mentor to a lot of young people, and fantastic meter. So  
20 I just wanted to take a few minutes to remember George and  
21 few moments on silence.

22 (A moment of silence.)

23 CHAIRPERSON KLEINMAN: Thank you very much,  
24 Melanie.

25 And before we actually start, I just wanted to

1 remind everybody who has a microphone to speak into it,  
2 because we are recording the proceedings and also because  
3 Dr. Glantz can't hear us without us talking through the  
4 microphone.

5           PANEL MEMBER GLANTZ: Thank you.

6           CHAIRPERSON KLEINMAN: You're welcome. We live  
7 to serve.

8           So this morning, we're going to hear a  
9 presentation from the OEHHA staff on the carbonyl sulfide  
10 reference exposure document -- reference exposure level  
11 document. We will have comments that have been received  
12 by the Panel's lead discussant, Dr. Blanc. And OEHHA will  
13 have an opportunity to discuss their response to the  
14 comments that were made.

15           And then we'll have an opportunity to discuss and  
16 provide feedback on the carbonyl sulfide REL, and then  
17 discuss approval of the document.

18           The second item on the agenda is going to be a  
19 Panel review of the reference exposure level for ethylene  
20 mono-n-butyl ether, otherwise called EGBE. And we will  
21 hear a presentation on the reference exposure level  
22 document, the levels for one hour acute RELs, 8-hour  
23 repeated REL, and a chronic exposure REL for both of the  
24 compounds that will be discussed today.

25           So I would like to start with the review of the

1 carbonyl sulfide REL document. And -- no, we'll start  
2 with a presentation from the OEHHA staff.

3 (Thereupon an overhead presentation was  
4 presented as follows.)

5 DR. FAUST: I just wanted to do a quick  
6 introduction of myself. I'm John Faust. I've been  
7 recently designated to fill the position of the Branch  
8 Chief of the Air Community and Environmental Research  
9 Branch filling the position that Dave Siegel vacated when  
10 he retired last fall.

11 I come from the Branch. I've recently been  
12 leading a group that's work on an environmental justice  
13 screening tool called CalEnviroScreen that identifies  
14 communities in California burdened by multiple sources of  
15 pollution. But I've been with OEHHA over 20 years and  
16 have variously worked on documents related to the Air  
17 Toxics Hot Spots Program, Prop 65, and the Drinking Water  
18 Program.

19 So I look forward to working with the group in  
20 the future. And with that, I'll just turn it over to John

21 DR. BUDROE: Good morning, Dr. Kleinman, and  
22 Panel members. My name is John Budroe. I'm the OEHHA Air  
23 Toxicology and Risk Assessment Section Chief. And I'd  
24 like to introduce Dr. Jim Collins. Dr. Collins is the  
25 staff lead for the carbonyl sulfide reference exposure

1 level document, and he'll be making the presentation on  
2 the document to you this morning.

3 DR. COLLINS: Good morning, Panel. Let's go to  
4 the second slide.

5 --o0o--

6 DR. COLLINS: This document lets see I get to  
7 second slide. This document -- oh, let's see I go to the  
8 second slide.

9 This document, if it's approved will -- I'm  
10 sorry. Please stand by.

11 This chemical, if the reference exposure levels  
12 are approved, will become part of our Appendix D1. The  
13 last draft was revised in May. And since then, there's  
14 only been minor changes.

15 --o0o--

16 DR. COLLINS: Slide 3 is a selfie of carbonyl  
17 sulfide. It's one of the simplest chemicals we've looked  
18 at in the toxic air contaminant program. It was actually  
19 suggested that we look at this in 1997 by the NRDC, and 20  
20 years later we're coming to actually have a result.

21 --o0o--

22 DR. COLLINS: Slide 4, carbonyl sulfide is a  
23 chemical intermediate. It's a byproduct of oil refining.  
24 And, at least an Australia, it has been suggested as a  
25 potential grain fumigant. Although it is not currently

1 registered in California either as a fumigant or as even  
2 applied to the -- as a fumigant. So it's a potential  
3 grain fumigant. It was declared a federal hazardous air  
4 pollutant in 1990, and a toxic air contaminant in  
5 California in 1993.

6 --o0o--

7 DR. COLLINS: Slide 5. In 2012, the emissions in  
8 California were estimated to be 15,710 pounds from 56  
9 sources. The top source in California was 7,706 pounds  
10 from a refinery in the Los Angeles -- in the South Coast  
11 Air Quality Management District. At the same -- for the  
12 same year, the federal government reported TRI, its  
13 inventory emissions as 34,916 -- 960 pounds from 15  
14 sources. And we can get into some of the discrepancies,  
15 but one of the differences is a hot spots emissions are  
16 updated every four years, but not necessarily carried  
17 forward for the intervening years. Whereas, TRI emissions  
18 are reported every year.

19 --o0o--

20 DR. COLLINS: Slide 6 shows a slide of the  
21 metabolism of carbonyl sulfide. Carbonyl sulfide may get  
22 into the body by breathing the pure material or as a  
23 contaminant. It may be formed metabolically from  
24 methionine. It also, if someone is exposed to carbonyl  
25 sulfide, it can form carbonyl disulfide can form carbonyl

1 sulfide under the influence of mixed function oxidase.  
2 And notice that arrow tends to go in one direction. It's  
3 not a reversible reaction.

4 Carbonyl sulfide then can react with water to  
5 form a mercapto formic acid under the influence of  
6 carbonic anhydrase. Again, another molecule of water can  
7 be added Mercapto formic acid to form sulfhydryl ion  
8 bicarbonate, and hydrogen ion and that's in equilibrium  
9 with hydrogen sulfide and carbon dioxide in water.

10 --o0o--

11 DR. COLLINS: Slide 7. Reference exposure levels  
12 are based on the most sensitive and relevant health effects  
13 reported in the medical and toxicological literature. And  
14 they are derived as described in our document 2008 on *Hot  
15 Spots Risk Assessment Guidelines* for RELs. And this was  
16 reviewed by the Panel and adopted by OEHHA in 2008.

17 --o0o--

18 DR. COLLINS: An acute REL -- acute reference  
19 exposure levels are levels at which infrequent 1-hour  
20 exposures are not expected to result in adverse health  
21 effects. And these are described in Section 5 of our  
22 technical support document.

23 --o0o--

24 DR. COLLINS: Slide 9. The key study for  
25 carbonyl sulfide is an extensive study carried out by the

1 National Toxicology Program published in 2004. And the  
2 key study, the investigators exposed groups of five rats  
3 to several concentrations of carbonyl sulfide six hours a  
4 day for one day, and then followed them for 14 days.

5 Male rats exposed to the highest dose or six  
6 hours showed ataxia and head tilt near and slight somewhat  
7 after the exposure. And then they showed  
8 neuropathological lesions in the brain at 14-day  
9 follow-up. And this basically has the outline of how an  
10 LC50 determination is determined. But in this case, they  
11 were looking for non-lethal effects. Male rats exposed to  
12 300 parts per million did not exhibit these nervous system  
13 effects. So the experiment yielded both a LOAEL and a  
14 NOAEL.

15 --o0o--

16 DR. COLLINS: Here's our derivation on slide 10.  
17 The study population was groups of five male rats. And  
18 this is a deficiency in the study, there was only one sex  
19 looked at and a small number of animals. They looked at  
20 several concentrations of carbonyl sulfide, a single  
21 exposure of six hours, followed by follow up. LOAEL of  
22 600 and NOAEL of 300. A benchmark dose was not derived,  
23 because there was an all-or-nothing response, either no  
24 animals responded, or in the case of the severest adverse  
25 effects, all the animals. You can mathematically develop

1 a benchmark concentration, but I'm not sure it's  
2 meaningful.

3 The effect of 1-hour exposure -- equivalent  
4 1-hour exposure of 300 ppm is 542 ppm using our variant  
5 Haber's rule, whereas concentration cubed times time is a  
6 constant.

7 --o0o--

8 DR. COLLINS: So in the top line of slide 11, the  
9 human equivalent concentration is the same as the animal  
10 continuous concentration, a 1-hour concentration of 542  
11 ppm. We use a NOAEL, so the LOAEL uncertainty factor is  
12 1. The interspecies uncertainty factor is we use a  
13 subkinetic -- toxicokinetic subfactor of 2, which is our  
14 default, and a toxicodynamic subfactor for animals of  
15 square root of 10 also our default. For intraspecies  
16 uncertainty subfactors, we used a toxicokinetic subfactor  
17 of 10, which is our default. In the case of toxicodynamic  
18 human subfactor, we used a factor of 10, because infants  
19 and children are potentially -- potentially have increased  
20 sensitivity to neurotoxicants.

21 We also used an uncertainty -- database  
22 uncertainty factor of the square root of 10, because the  
23 data -- limited database for this chemical is -- it's  
24 quite limited. The cumulative uncertainty factor was  
25 2000, and the acute reference exposure legal was 270 parts

1 Per billion or 660 micrograms per cubic meter.

2 --o0o--

3 DR. COLLINS: Slide 12, chronic RELs. The  
4 chronic reference exposure level is a concentration at  
5 which adverse noncancer health effects would not be  
6 expected from continuous chronic exposures. And these are  
7 discussed in Section 7 of the technical support document.

8 --o0o--

9 DR. COLLINS: In this case, we used the same  
10 paper, but the animals in this case were also rats, but 10  
11 per sex per exposure level. And they were subjected to  
12 discontinuous whole body inhalation of 0, 200, 300, 400  
13 parts per million carbonyl sulfide, six hours per day,  
14 five days a week for 12 weeks. At 400 ppm, both males and  
15 females showed an increase incidence of necrosis or  
16 cavitation in the parietal cortex and of neuronal loss or  
17 microgliosis posterior colliculus. And 300 ppm, there  
18 were no similar effects. So that was a LOAEL -- NOAEL.

19 --o0o--

20 DR. COLLINS: Okay. Here is the listing of the  
21 COS exposed rat brain pathology data after 12 weeks. And  
22 notice in both the males and the females in parietal  
23 cortex area one, there was a significant increase in  
24 necrosis or cavitation in both males and females at 400  
25 ppm. And in the posterior colliculus, there was neuronal

1 loss microgliosis in males and females at 400 ppm. So we  
2 have a NOAEL and we have a LOAEL, and go forward.

3 --o0o--

4 DR. COLLINS: After we developed -- the previous  
5 slide shows basically what would be classically used for  
6 LOAEL and NOAEL pathology. However, in the case of the  
7 carbon dioxide -- carbonyl sulfide, we had an additional  
8 consideration. Upstream biochemical perturbations may be  
9 useful for assessing dose response relationships.

10 And there was a workshop on this that was held in  
11 Berkeley several years ago to discuss this. For carbonyl  
12 sulfide such an upstream effect maybe the decrease in  
13 cytochrome oxidase level in certain areas of the brain.

14 --o0o--

15 DR. COLLINS: The same study using the same  
16 animals also studied the levels of cytochrome oxidase in  
17 the rat brain parietal cortex, both males and females, and  
18 also in the posterior colliculus, we selected this data  
19 because it was monotonic. It shows that the cytochrome  
20 oxidase level of 1,711 units at 0 ppm decreased as one  
21 went from 200 to 300 to 400 ppm carbonyl sulfide in the  
22 exposure, such that at the highest level, the enzyme level  
23 is 50 percent of the control. And this is of interest,  
24 because sulfhydryl groups inhibit cytochrome oxidase.

25 --o0o--

1 DR. COLLINS: So we took that data and did a  
2 benchmark dose analysis. We found there was at least one  
3 model, the exponential model -- slide 17, exponential  
4 model, which gave a acceptable fit, although barely  
5 acceptable, and gave a BMC of one standard deviation from  
6 the norm of -- from the mean of 55, and a benchmark  
7 concentration -- BMCL of 44.

8 And slide 18 just shows the graph of the decrease  
9 with concentration caused by carbonyl sulfide.

10 --o0o--

11 DR. COLLINS: So our prosed chronic REL, again  
12 Morgan et al. 2004, rats, four levels of carbonyl sulfide,  
13 the critical effect low cytochrome oxidase. The LOAEL was  
14 200 ppm, no NOAEL was seen. And the BMCL one standard  
15 Deviation was 44 ppm from the Exponential Model 2.

16 --o0o--

17 DR. COLLINS: The exposure then was time adjusted  
18 from 44 ppm to a continuous exposure level of 7.9 ppm.  
19 And since the effect was internal, the human equivalent  
20 concentration was also 7.9 ppm. We used a subchronic  
21 uncertainty factor of the square root of 10, which is our  
22 default for a 12-week study. Toxicokinetic and  
23 toxicodynamic subfactors, interspecies, were the same, as  
24 with the acute, the defaults.

25 For the intraspecies uncertainty factor,

1 toxicokinetic subfactor, we used a 10, the default. In  
2 the case of toxicodynamic, we actually only used the  
3 square root of 10, because we felt we were looking at an  
4 upstream effect and could justify a small uncertainty  
5 factor. But we still left in our uncertainty factor for  
6 the database as square root of 10, getting a cumulative  
7 factor of 2,000, and a chronic exposure level of four  
8 parts per billion.

9 --o0o--

10 DR. COLLINS: Slide 21. Proposed 8-hour REL.  
11 The 8-hour reference exposure level is a concentration at  
12 or below which adverse noncancer health effects would not  
13 be anticipated for repeated 8-hour exposures. Because  
14 chemicals that have the endpoint of neurotoxicity often  
15 have cumulative and sometimes irreversible effects, the  
16 8-hour REL is the same as the chronic REL, four parts per  
17 billion or 10 micrograms per cubic meter.

18 --o0o--

19 DR. COLLINS: Slide 22. Carbonyl sulfide is a  
20 TAC especially affecting infants and children. In view of  
21 the neurotoxic effects of carbonyl sulfide, exposure may  
22 disproportionately impact infants and children. OEHHA  
23 recommends that carbonyl sulfide be identified as a toxic  
24 air contaminant, which may disproportionately impact  
25 children pursuant to Health and Safety Code 39669.5

1                   --o0o--

2           DR. COLLINS:  No written comments about the  
3 public review draft were submitted, but we did have  
4 extensive comments from the Panel.  And I'll turn to Dr.  
5 Kleinman.

6           DR. BUDROE:  And Dr. Kleinman, do you have any  
7 questions for staff regarding the document?

8           CHAIRPERSON KLEINMAN:  Well, I thought we should  
9 start out with Dr. Blanc presenting his comments as lead  
10 discussant on the document, and then we'll open it up.

11          PANEL MEMBER BLANC:  You guys are doing the  
12 review.  You have the slides.  Go ahead.

13          DR. BUDROE:  So we should go directly to --

14          CHAIRPERSON KLEINMAN:  Let's go through Dr.  
15 Blanc's comments --

16          DR. BUDROE:  -- our response to comments.

17          CHAIRPERSON KLEINMAN:  -- and your responses.

18                   --o0o--

19          DR. COLLINS:  Dr. Blanc made extensive comments  
20 on the document.  One comment was the U.S. EPA lists 13  
21 refineries with greater than 40,000 pounds of total  
22 carbonyl sulfide emissions.  The hot spots inventory lists  
23 two or three with less than 8,000 pounds total.  That is  
24 only 20 percent of the EPA estimate.  Emissions table is  
25 for low year 2008, not the year with 22,000.

1 Well, we did -- there are some problems with the  
2 reporting. We did -- we now list the 2012 U.S. EPA toxic  
3 release inventory and the hot spots carbonyl sulfide  
4 California emissions inventory. And this is now in the  
5 revised document. And you can see it's on Table 3 on page  
6 three. And the five top emitters are oil refineries in  
7 the South Coast Air Quality Management District.

8 The differences between TRI and ARB emission  
9 estimates reflect differences in reporting requirements.  
10 TRI reporting is annual. They have some different  
11 requirements from ARB. The hot spots are only updated  
12 every four years. And the facility reports are staggered.  
13 Any given year does not include all sources. So that's  
14 just a weakness of the reporting, which we don't have an  
15 influence over.

16 --o0o--

17 DR. BUDROE: Yeah, there are also -- this John  
18 Budroe. There are also other differences in reporting  
19 requirements between TRI and the hot spots database, and  
20 that's just, you know, two different programs. One is  
21 federal and one is State. And there's always -- you're  
22 never going to have a one-to-one match up between the hot  
23 spots in inventory and TRI.

24 PANEL MEMBER BLANC: There are two separate  
25 questions. One is is the 20,000 pounds included in the

1 40,000 pounds or are -- or is there not 100 percent  
2 overlap in the facilities? So the argument that  
3 California is staggered so that you wouldn't get all  
4 reporting for any one year from all sites would be  
5 supported by all of the California sites being part of the  
6 TRI. But if there sites in the TRI -- sites in the  
7 California that are not in the TRI or vice versa, if you  
8 went back over the four years that would capture  
9 everybody, because what we actually want to know is is the  
10 true value 60,000? Should we be adding it together, are  
11 they apples and oranges, or as I recently learned in -- on  
12 a trip to Sweden what they say there is that's like  
13 comparing apples and pears, which wouldn't work in  
14 America. But I guess when you don't have any fruit in the  
15 winter, that's a big difference.

16 DR. BUDROE: It's about apples and pears.

17 PANEL MEMBER BLANC: Right.

18 (Laughter.)

19 DR. BUDROE: In general, the two databases mostly  
20 reflect each other, but there are some differences  
21 numerically, since hot spots database only lists every --  
22 you know, for a -- list a facility in that emissions  
23 inventory every four years, you know, they're never -- the  
24 numbers are never going to match up exactly.

25 PANEL MEMBER BLANC: That's not really my

1 question. My question is what would you estimate the --  
2 based -- using both sources, what do you estimate the  
3 total emissions to be? I'm not telling you to go back and  
4 rewrite the document, but perhaps for the future. As a  
5 reader, it wasn't all that useful to see, well, so and so  
6 says 20,000, so and so says 40,000. What I really want to  
7 know is, you know, based on the limitations of both data  
8 sets what is a reasonable annual estimate?

9 DR. BUDROE: I think what you've got is a range,  
10 and you're somewhere within that range, but I don't think  
11 we can provide a bright line.

12 PANEL MEMBER BLANC: Well, it can't be less than  
13 the EPA estimate, so how could it be within that range?  
14 It's only got to be more, to the extent that the EPA has  
15 underestimated, right, or am I not understanding the point  
16 here?

17 This is just a generalizable thing, right,  
18 because you're going to come up against this with other  
19 chemicals, so I think it would be useful going forward. I  
20 don't think it's the be-all or end-all with this one. But  
21 it's -- I think what happens is sometimes we get into a --  
22 you know, we get formulaic a little bit with these  
23 documents, which is understandable, but I'm just  
24 suggesting you think about what the formula is.

25 If what you're trying to say is how big a problem

1 is this, then what you want to say is, you know, not EPA  
2 says this and Hot Spots Program says that, without some  
3 analysis or commentary, right? It's -- the utility of the  
4 document can be in the analysis. But I don't want to  
5 monopolize. Maybe someone else has same the reaction or  
6 if others on the Panel think I'm off base, we should just  
7 drop it.

8 CHAIRPERSON KLEINMAN: Okay. Let's take this  
9 point and go around and -- Kathy.

10 PANEL MEMBER HAMMOND: Okay. No. Paul -- I  
11 actually agree with Paul. I think that it is useful to  
12 try to understand. And I understand that that's  
13 challenging in your situation. And I'm going to ask some  
14 questions out of ignorance in this. But, for instance, we  
15 know that refineries go down sometimes for maintenance,  
16 and so I don't quite know how that four-year cycle of  
17 reporting to California goes. And maybe you can check  
18 into that. But would it be convenient that they report  
19 for a refinery that's down for maintenance, you know, in  
20 that year, and not for four years. So could you have an  
21 underreporting if that kind of thing were happening?

22 So that's the question -- one -- I'll leave it at  
23 that for the moment.

24 DR. BUDROE: Okay. Well, yeah, you would -- I  
25 mean, say, for example, ExxonMobil in Torrance that went

1 down because they ended up -- an explosion, they're going  
2 to probably report -- if that year that they're down is a  
3 reporting year, then they're going to report fewer  
4 emissions of carbonyl sulfide

5 PANEL MEMBER HAMMOND: Who determines the  
6 reporting year?

7 DR. BUDROE: ARB. I mean, they have a scale.

8 PANEL MEMBER HAMMOND: ARB tells each refinery  
9 which year they're supposed to report?

10 DR. BUDROE: Right. I mean, they're the ones  
11 that come completely control how the emission inventory  
12 works.

13 PANEL MEMBER HAMMOND: Right. So. But  
14 if -- is -- do you have access to information that would  
15 enable you to determine whether a refinery was down and  
16 for how many weeks it was down in a reporting year, to  
17 see -- because that would an indication of an overall  
18 underestimate if that was happening?

19 DR. BUDROE: We potentially could, but it's  
20 not -- I, mean in the end, it's -- now, you're starting to  
21 get into ARB's purview, so --

22 PANEL MEMBER HAMMOND: Okay. Well, it's  
23 important in the sense of trying to understand what  
24 Californian's exposures are, correct? That is important.

25 And then the other question that's related that I

1 had was what about accidental releases or, you know,  
2 incidents like the Chevron fire, are those affected by  
3 this, are they incorporated in these numbers?

4 DR. BUDROE: No, they're not.

5 PANEL MEMBER HAMMOND: And is there any of  
6 estimate of those emissions

7 DR. BUDROE: I don't exactly know. I know there  
8 have -- the air districts for example, for the Chevron  
9 fire Bay Area AQMD may have attempted to make an estimate,  
10 but I can't give you an exact answer to that.

11 DR. COLLINS: You may learn more when the  
12 facilities have to revise their risk assessments and use  
13 these numbers for their carbonyl sulfide, to see whether  
14 it's an issue or not.

15 PANEL MEMBER HAMMOND: They do a risk assessment?  
16 The companies do a risk assessment?

17 DR. COLLINS: Health risk assessment is under the  
18 Hot Spots Program, but --

19 PANEL MEMBER HAMMOND: I see.

20 DR. COLLINS: -- but there are guidelines.  
21 There's a computer program they need to use called HARP.  
22 The air districts learn how to use it. The consultants  
23 use that. So really I think we'll find out something  
24 about carbonyl sulfide when a refinery is actually  
25 emitting it, is it actually affecting anybody?

1           PANEL MEMBER HAMMOND: I mean, will they -- are  
2 they required by those guidelines -- I'm sorry, I don't  
3 know those to --

4           DR. COLLINS: No. Once this chemical is  
5 listed --

6           PANEL MEMBER HAMMOND: Yes.

7           DR. COLLINS: -- or has an -- they have to use  
8 it. They have to apply that number to their emissions.  
9 So we'll see whether it affects anybody.

10          PANEL MEMBER HAMMOND: You mean the REL number?

11          DR. COLLINS: The RELs, yes.

12          PANEL MEMBER HAMMOND: So -- but when you say  
13 apply it to their emissions, is that their routine  
14 emissions?

15          DR. COLLINS: Routine emissions.

16          PANEL MEMBER HAMMOND: So what about accidental  
17 emissions?

18          DR. BUDROE: Yeah. Accidental emissions aren't  
19 included. And they -- actually, the hot spots facilities  
20 are required to report quantities of carbonyl sulfide now.  
21 They just don't have to do a health --

22          PANEL MEMBER HAMMOND: Comparison.

23          DR. BUDROE: Right.

24          PANEL MEMBER BLANC: So just to go back to the  
25 every four-year process. Is the 20,000 pounds that's

1 estimated for 2012, the reporting for the sample in 2012  
2 only? That's what I understood you to say.

3 DR. BUDROE: Correct.

4 PANEL MEMBER BLANC: So isn't the true annual  
5 emissions adding together the values from the last four  
6 years?

7 DR. BUDROE: Possibly. I'm not that familiar in  
8 that kind of depth with the ARB inventory system -- hot  
9 spots inventory system.

10 PANEL MEMBER BLANC: Well, again, I think this  
11 would come back to -- I mean, we've seen in almost  
12 everybody meant some number like this, if it's a relevant  
13 hot spots chemical already. And we've probably never  
14 delved down to this level of granularity, but I would  
15 suggest -- it's not going to change this document, but I  
16 would suggest for future documents if what -- if the  
17 purpose of presenting the number is not simply, okay,  
18 we've checked that off, we have to present the number from  
19 some recent annual hot spots program. If the purpose is  
20 rather to say what do we think the California release is,  
21 and if you think that it's every four-year sample, such  
22 that over four years, you would capture the universe of  
23 exposures. And if you think they're more or less constant  
24 over time, then the annual exposure is the additive of the  
25 four samples, if they're not overlapping samples.

1 DR. BUDROE: Okay. We can have a dialogue with  
2 ARB and see what we can do for the --

3 PANEL MEMBER BLANC: That would be useful going  
4 forward.

5 DR. BUDROE: -- upcoming documents.

6 PANEL MEMBER BLANC: Don't revise this, but just  
7 going forward, I think.

8 CHAIRPERSON KLEINMAN: Yeah.

9 PANEL MEMBER RITZ: So this maybe because I'm  
10 ignorant about the chemistry, but it says here atmospheric  
11 half-life is more than two years. So that means it's  
12 relatively stable, correct? So could there be cumulative  
13 amounts in the air through these releases over time or how  
14 does it disappear?

15 DR. COLLINS: Maybe --

16 PANEL MEMBER ANASTASIO: Yeah, this is Cort  
17 Anastasio. I can speak to that. The lifetime is long, a  
18 few years, but it will be dispersed out of the area  
19 relatively quickly, over the course of probably days to a  
20 week, depending on the air flow.

21 CHAIRPERSON KLEINMAN: Kathy.

22 PANEL MEMBER HAMMOND: Along that line, following  
23 in a slightly different way, I've been thinking about the  
24 hot spots, and I have some new ideas as I've been kind of  
25 thinking about today's agenda.

1           And one of my thoughts is hot spots means we're  
2 talking about places not the overall average for the  
3 State. And this may be something more of an issue that  
4 goes -- it's just not for this. But if the major source  
5 of carbonyl sulfide is refineries, then clearly what we'd  
6 be interested in the concentrations nearby the refinery.  
7 Those are the hot spots, in fact, and it's not what the  
8 average in the whole State is. And to whatever degree we  
9 have that information, which I guess the answer is we  
10 don't always have that information.

11           DR. COLLINS: We should soon, because --

12           PANEL MEMBER HAMMOND: So ARB is going to do  
13 monitoring for that, you mean?

14           DR. COLLINS: I don't know that ARB --

15           PANEL MEMBER HAMMOND: Or who is doing that?

16           DR. BUDROE: The facilities that emit carbonyl  
17 sulfide have to do essentially a modeled inventory of how  
18 much they're emitting. And the modeling software  
19 generates concentrations.

20           PANEL MEMBER HAMMOND: Fence line?

21           DR. BUDROE: Fence line, maximum exposed  
22 receptor.

23           DR. COLLINS: Receptor grid.

24           PANEL MEMBER HAMMOND: And that's a requirement  
25 when it goes into D. So Appendix D and the hot spot, at

1 that point, that comes into play, is that correct?

2 DR. BUDROE: Correct.

3 CHAIRPERSON KLEINMAN: So just to put this in a  
4 little perspective, I did a little digging to see if I  
5 could find anything on ambient concentrations. And I  
6 found that in occupational environments, occupational  
7 exposures range from about 2.6 to 50 parts per million, so  
8 way above what we're talking about, but indoor air  
9 concentrations are less than one part per billion, in --  
10 that they've measured.

11 What's interesting is there's a substantial  
12 amount of COS in cigarette smoke, which is mentioned in  
13 the document, and side-stream smoke also. So I calculated  
14 out what that turned out to be, and it's something on the  
15 order of 45 parts per billion in cigarette smoke.

16 PANEL MEMBER HAMMOND: Mainstream.

17 CHAIRPERSON KLEINMAN: In mainstream, and then  
18 about 10 percent of that in side-stream smoke. So in the  
19 presence of smokers, you have a substantial -- you know,  
20 you could have substantial --

21 PANEL MEMBER HAMMOND: That was say in a home,  
22 for instance --

23 CHAIRPERSON KLEINMAN: In a home.

24 PANEL MEMBER HAMMOND: In a home with smokers,  
25 that would exceed the chronic REL.



1 ppm.

2           Actually, OEHHA used two independent English  
3 translations, one of which staff did of the original  
4 Thiess study, which was published in German. We added to  
5 the reporting of Thiess results. There were no deaths  
6 after six hours at 300 to 500 ppm in cats, rabbits, or  
7 guinea pigs, with a total of two of each species.

8           PANEL MEMBER BLANC: This was just an example of  
9 OEHHA was responsive to my wish to see rather than the  
10 original document, which cited a secondary source of a  
11 review of primary data, and the primary data were absent  
12 from the document. So I made them go back and do this, so  
13 I was pleased to see that responsiveness. And I think, to  
14 me, the general point was when the data are so sparse and  
15 you have a study that does -- has looked at multiple  
16 species, maybe not the best study in the world, it  
17 actually needs to be included as -- in terms of its  
18 primary data. And so you did that, so that was good.

19           DR. COLLINS: Now, I don't know whether you want  
20 to go into the maybe overemphasizing carbon disulfide.  
21 Morgan makes convincing argument this is not the pathway  
22 and rather hydrogen sulfide is the ultimate toxin, which I  
23 would agree with. I would just -- I just didn't know how  
24 Thiess et al. were sure that it was carbonyl sulfide that  
25 was -- that was causing the problem. It just wasn't clear

1 from reading that paper. Now, maybe I'm missed some of  
2 the German idioms. I don't know.

3 PANEL MEMBER RITZ: I can read it.

4 DR. COLLINS: Your spare time, you want to read  
5 it.

6 CHAIRPERSON KLEINMAN: But as I understand it,  
7 the hydrogen sulfide and the carbon monoxide were  
8 co-pollutants to which the people were exposed.

9 DR. COLLINS: That's what they think and they  
10 ruled out hydrogen sulfide by it didn't react with lead  
11 paper. I don't know how they ruled out carbon monoxide.  
12 The only thing I could figure out is they knew they were  
13 working with it. And, you know, it was almost like a  
14 trade secret or something. It didn't really talk -- they  
15 just had, okay, this poor guy died and we know it's  
16 carbonyl sulfide.

17 PANEL MEMBER BLANC: Yeah, yeah. I should say --  
18 clarify that the Thiess paper included a human exposure  
19 component. It wasn't just animal data.

20 DR. COLLINS: Right.

21 PANEL MEMBER BLANC: So -- and I did -- I didn't  
22 think the issue was carbon disulfide based on the data  
23 that were available. So I thought -- this is just a point  
24 as to what -- reemphasizes that I thought this was quite a  
25 relevant paper, since it was a human death. And I didn't

1 care for the way in which it was sort of discounted and  
2 the discounting was really based on this secondary review,  
3 and so you backed off on that, so that's fine.

4 CHAIRPERSON KLEINMAN: Yeah. I would imagine if  
5 they suspected carbon monoxide they would have done  
6 carboxyhemoglobin analyses on the blood. It would be, you  
7 know, pretty straightforward to do that. But if they  
8 didn't mention it, then there's nothing you can do.

9 DR. COLLINS: I'd have to go back and take a  
10 look.

11 --o0o--

12 DR. COLLINS: Carbonic anhydrase may be crucial  
13 to COS metabolism. We would certainly agree. How much is  
14 know about human carbonic anhydrase polymorphisms?

15 Well, there's lost of the polymorphisms, at least  
16 15. Some are cytoplasmic, some are, I think, attached to  
17 endoplasmic reticulum. There's a few of them that are  
18 inactive. The problem is we just don't have a lot of data  
19 about carbonyl sulfide metabolism by carbonic anhydrase in  
20 humans. When they look at the various polymorphisms,  
21 they're interested in CO2 not in COS.

22 PANEL MEMBER BLANC: This comes down to an issue  
23 that emerged as one read the document in detail, which is  
24 that -- and we'll come back to it I think related to the  
25 ultimate values that were determined, but it would appear

1 that the mechanism of action of this toxicant is through  
2 its metabolism to hydrogen sulfide. And carbonic  
3 anhydrase is the critical enzyme in that regard. So  
4 theoretically if you had hyper metabolizers, they would be  
5 at risk of greater toxicity, but there isn't any data on  
6 it. There's surprising little data on the biological  
7 implications of whatever genetic variability there is in  
8 carbonic anhydrase activity, which surprised me, since  
9 it's a pretty important enzyme, but there you have it.  
10 And I think your approach was to try to take that into  
11 account in your uncertainty calculations.

12 CHAIRPERSON KLEINMAN: I did find a reference  
13 that showed that if you block carbonic anhydrase, you  
14 actually reduce the COS toxicity.

15 DR. COLLINS: That's mentioned in our summary.

16 CHAIRPERSON KLEINMAN: Yeah, so looking at that,  
17 I was thinking in support of that hypothesis, you ought to  
18 put that into the diagram in Figure 6 or in slide 6 where  
19 you talk about metabolism.

20 DR. COLLINS: Yeah. Yes.

21 CHAIRPERSON KLEINMAN: Because I think it's  
22 important to show that if you block that, you do block the  
23 toxicity.

24 --o0o--

25 DR. COLLINS: Comment. The acute REL uncertainty

1 factor human toxicodynamic equals 10. Chronic REL equals  
2 square root of 10. Not convincing the data justify a  
3 chronic REL square root of 10.

4 Well, I don't know. We -- this is certainly  
5 negotiable, but basically we used the square root of 10  
6 for the chronic REL toxicodynamic subfactor, because an  
7 upstream effect was used as the REL basis. And there was  
8 an effect seen at 200 ppm and 300 ppm, whereas the  
9 pathological response wasn't seen until you got to 400  
10 ppm. So that was the rationale. I'm certainly open to  
11 negotiation.

12 PANEL MEMBER BLANC: Well, you know, this is a  
13 situation where the effect you're talking about is not  
14 apoptosis of nasal epithelium, but brain cell death. So  
15 that does concentrate the mind a bit. And I think that  
16 was the motivation of my discomfort with sort of backing  
17 off on the uncertainty, since the uncertainty has to do  
18 with things like how much carbon -- how much hydrogen  
19 sulfide is really being produced from the parent compound?

20 So this -- if I had, you know, felt intensely  
21 about it, I would have then come back to you and said, you  
22 know, unacceptable blah, blah, blah, you know. But I  
23 would certainly welcome the thoughts of the Panel. And I  
24 think it's just a -- you know, it's the mechanics of when  
25 you're the single reviewer of something, I don't think --

1 I don't think I feel like I unilaterally should be, you  
2 know, setting ARB policy or something.

3 So I would certainly welcome, to the extent that  
4 people want to comment on this. And if there's a  
5 consensus, either way, I'd certainly be comfortable with  
6 it, as I'm sure you would be. So I don't --

7 CHAIRPERSON KLEINMAN: Yeah. I'd like to ask the  
8 other Panel members chime in. Beate.

9 PANEL MEMBER RITZ: Yeah. First of all, I want  
10 to congratulate you for using that data on cytochrome  
11 oxidase. And when I read that being from the Parkinson's  
12 field, it really started scaring me, because mitochondria  
13 are it, and the dopamine neurons don't divide after we're  
14 born. So if we're born with not enough dopamine neurons,  
15 we are likely to come down with Parkinson's earlier,  
16 because we lose about one percent while we're aging. And  
17 at some point, we are hitting that 60 to 70 percent where  
18 we're showing motor symptoms, which could be earlier or  
19 later in life, hopefully never.

20 So dopamine neurons are very high in stress,  
21 because they are autonomic pacemakers, and they have  
22 certain ways of not -- they have a very high oxidative  
23 stress level. And mitochondria are extremely important  
24 and we all agree now that oxidative stress and  
25 mitochondrial dysfunction is what contributes to dopamine

1 neuron death. So anything that inhibits, in my mind, the  
2 mitochondrial cytochrome oxidase is actually something we  
3 should be looking at twice.

4 PANEL MEMBER BLANC: But, Beate, specifically in  
5 terms of the uncertainty factor?

6 PANEL MEMBER RITZ: I would think so, because  
7 from what I read, the brain of the developing fetus  
8 already has the enzyme to convert into H<sub>2</sub>S. And if that  
9 happens in a part of the brain where the neurons are not  
10 able to divide much longer after birth, or at all, then  
11 you know you're just setting up susceptibility. I'm not  
12 saying that a kid will be born with any problems. It will  
13 just be born with not enough dopamine neurons, and then,  
14 you know, 60 years later we see the effect of that. So  
15 that's my uncertainty to that question.

16 And you wouldn't see that in other brain areas  
17 where you still see developed -- I mean, neuronal division  
18 and, you know, replacement, et cetera, even if some cells  
19 are affected, but you see it for certain cell types. And  
20 you wouldn't -- nobody has looked in these animals. I  
21 just wish somebody would be looking and count the dopamine  
22 neurons. You can count them. That's an experiment that I  
23 would like to suggest somebody does at some point.

24 CHAIRPERSON KLEINMAN: Well, I think this is an  
25 important point, because the carbonic anhydrase is not

1 only an upstream effect, but it is mechanistically tied to  
2 the toxic effect downstream. So it's not like we're  
3 seeing an enzymatic change that has some, you know, foggy  
4 relevance to the problem. This is -- it appears to be  
5 directly applicable.

6           So I think, you know, it may be that the square  
7 root of 10 is, you know, an underestimate. I don't know.  
8 I'd like to, you know, sort of get a feeling from the rest  
9 of the panel whether this is something that ought to be  
10 reviewed and revised within the document.

11           PANEL MEMBER ANASTASIO: I think it's an  
12 important issue, but I don't have the expertise to be able  
13 to distinguish between the two.

14           DR. COLLINS: I'd like to direct you to the  
15 comparison REL on page 26 of the document, in which we  
16 used the pathology and the toxicodynamic factor of 10, we  
17 ended up with a total uncertainty factor of 6,000 and a  
18 higher REL of 22 micrograms or nine parts per billion. So  
19 even using these assumptions, with the upstream effect, we  
20 got a lower number.

21           PANEL MEMBER BLANC: Say that again.

22           DR. COLLINS: If you look on page 26 --

23           PANEL MEMBER BLANC: I mean, I think what you're  
24 saying is if you used -- if you didn't use the cytochrome  
25 data, but used the animal data. But I don't think that's

1 what we're suggesting. I think what we're saying, or the  
2 question is, is the use of the cytochrome data ipso facto  
3 take care of enough uncertainty such that the square root  
4 of 10 is sufficient rather than 10. I think that's the  
5 question.

6 So the question is not if you didn't use the  
7 cytochrome data, which no one is supporting, and you used  
8 10 and not square root of 10, would that be a better  
9 approach? And I think you misinterpreted. I don't think  
10 that's what -- that wasn't what I asked.

11 DR. COLLINS: No, I don't think it's a better  
12 approach. I'm just saying it ended up with a -- even  
13 using that sensitive enzyme, we ended up with a lower REL,  
14 a more health protective REL than we would, had we used  
15 the more traditional approach of the pathology.

16 PANEL MEMBER BLANC: Yeah. No, I think that's  
17 great. I don't -- the question is should you be more?  
18 Because obviously, if you use 10 and not square root 10,  
19 then your chronic REL will be three-fold lower, right? Is  
20 that right?

21 DR. BUDROE: Right. Well, part of the question  
22 is usually we would use a quote classic pathology and  
23 point for example, like with the frank brain pathology.  
24 Here, we're using an effect that's considerably more  
25 sensitive, and it --

1           PANEL MEMBER BLANC: And that increases your  
2 certainty? Does that increase your certainty, therefore,  
3 that you've captured all of the variability or all of the  
4 unexplained potential? Because this -- this part is for  
5 the toxicodynamic piece, is that right?

6           DR. BUDROE: Correct.

7           PANEL MEMBER BLANC: So is the -- do you believe  
8 that because you're using this enzyme, you have the  
9 cytochrome data, you have therefore captured all of -- or  
10 sufficiently -- have you captured three times more of the  
11 toxicodynamic uncertainty, such that it warrants reducing  
12 the factor, because one could say if you had the  
13 terrible -- the animal data of brain dead versus not brain  
14 dead, the uncertainty factor, in fact, shouldn't be 10, it  
15 should be 100, right?

16           I mean, so it depends on if you're mitochondria  
17 are half empty or half full, right?

18           DR. BUDROE: It's going to capture more of the  
19 uncertainty. Exactly how much is always -- is a hard  
20 question, but it's -- we felt that using the upstream  
21 effect would justify, you know, keeping the toxicodynamic  
22 factor that is square root of 10. And eventually, it gets  
23 it to a point where if you load on too many more  
24 uncertainty factors, we've got a cumulative uncertainty  
25 factor now of 2000. That would push it to more like

1 6,000, which gets outside the range of what we wind up  
2 considering -- at least, what's in the 2008 guidelines, as  
3 being the acceptable risk assessment.

4 PANEL MEMBER RITZ: But 6,000 is what you use for  
5 the pathology.

6 DR. BUDROE: Well, that was a comparison, but we  
7 actually wouldn't use that for a REL document. It would  
8 be outside the range of what we would general consider to  
9 be acceptable, as in the guidelines.

10 CHAIRPERSON KLEINMAN: Well, looking at the model  
11 fit in the benchmark dose model, you do add a level of  
12 conservatism by taking the lower 95 percent line to get  
13 the BMDL. So to some extent, the way the model is  
14 constructed also introduces a level of, you know, safety  
15 in that. So it may be that, you know, using the square  
16 root of 10 is reasonable, because you've already added  
17 another level of conservatism to it already in the way you  
18 construct the model. But perhaps that could be discussed  
19 in the -- where you talk about what the benchmark dose  
20 is -- you know, has involved.

21 PANEL MEMBER BLANC: Well, it's kind of  
22 interesting, because in our dialogue the point was not  
23 brought up that were you to actually do that, you'd be  
24 outside the range of an acceptable multiplicative factor,  
25 based on your own guidelines. So if that's really the

1 case, that's helpful to hear it. But I might -- could I  
2 ask for confirmation of that statement? Is that policy --  
3 I don't think I was ever aware of that there was an  
4 absolute cut-off that -- of uncertainty past which one  
5 would abandon the attempt to set a standard.

6 Maybe -- I don't know, is there somebody from  
7 staff that wants to address that specific issue, because  
8 it would also touch on other guidelines going forward.  
9 You can just keep that in mind, and --

10 DR. MARTY: Yeah. This is Melanie Marty. The  
11 guidelines advise that we avoid using uncertainty factors  
12 larger than 3,000, but it is not an absolute cutoff. We  
13 just really try to -- in a way, it tells you you don't  
14 have enough data to generate a number. And U.S. EPA uses  
15 the same cutoff. And they generally don't like to use  
16 larger uncertainty factors than that, but it doesn't mean  
17 you don't have to. It doesn't not mean that.

18 PANEL MEMBER BLANC: And tell me again for this  
19 with the square root of 10, what's the uncertainty  
20 multiplicative factor we're using, 2,000?

21 DR. MARTY: Right. So right now we're using a  
22 2,000-fold total uncertainty factor. And, you know, keep  
23 in mind, these are -- you know, it's kind of like putting  
24 a step function over something really complex --

25 PANEL MEMBER BLANC: No, no. All right.

1 DR. MARTY: -- as uncertainty factors. So  
2 it's -- there's a lot of judgment in it. There's no  
3 question.

4 PANEL MEMBER BLANC: Yeah. Well, again, is there  
5 any -- can I just ask a mathematical question, given our,  
6 yes/no bridge points, which are generally 10 or a square  
7 root of 10, and then another 10 for a lousy data set,  
8 which we've put in here also in the chronic, I believe,  
9 right?

10 DR. MARTY: (Nods head.)

11 PANEL MEMBER BLANC: So is there any way you  
12 could ever get to 3,000 or would it have to be 2,000 or  
13 exceeding 3,000, just out algebraically.

14 DR. MARTY: Yeah. No, we do -- we can get to  
15 3,000 if we're using root 10 rounded to 3 times 10, for  
16 example, times 10, would be another 10.

17 PANEL MEMBER BLANC: I see.

18 DR. MARTY: So you can get to 3,000. The 2 is  
19 the default kinetic -- toxicokinetic uncertainty factor  
20 when you've used a human equivalent concentration  
21 adjustment. So that's where there's a 2,000. That's how  
22 you come up with 2,000 or 6,000 in the case of comparative  
23 REL.

24 PANEL MEMBER BLANC: Okay. Well, I mean, I --

25 DR. MARTY: I think your points are well taken

1 and, you know, it is a little bit unnerving, given the  
2 dose response for this chemical, which is --

3 PANEL MEMBER BLANC: Very steep.

4 DR. MARTY: Yeah, it's very steep. You go from  
5 almost nothing to pretty serious pathology. So, yeah,  
6 that --

7 PANEL MEMBER RITZ: Holes in the brain.

8 DR. MARTY: Exactly. So there -- you know,  
9 there -- I think we could consider that. Another issue is  
10 we do have carbonyl sulfide in our breath and in our guts.  
11 So you have to be a little bit careful. I mean, we don't  
12 want to get so low that we're below what we're normally  
13 breathing out anyway.

14 PANEL MEMBER BLANC: Right. Well, I'm okay with  
15 leaving it where it is. In the final analysis, the key  
16 thing is that you've finally generated a standard. But I  
17 do think these discussions are applicable to other  
18 situations. So I think it's -- I'm happy that we took the  
19 time to do it.

20 CHAIRPERSON KLEINMAN: Okay. Let's move on to  
21 the next comment.

22 --o0o--

23 DR. COLLINS: Yes. This actually continues what  
24 Dr. Blanc is talking about. Suggest more COS dose  
25 response discussion, given steep COS dose response curve.



1 that these were not really comparable for what was used  
2 for COS.

3           And if you look at hydrogen cyanide, which also  
4 had cytochrome oxidase, it has a steep dose response  
5 curve. And acute REL for hydrogen cyanide is 38 times the  
6 chronic REL. And I think this again is that just the  
7 amount of data that's available, it's limited. We don't  
8 all have all the data we would like.

9           PANEL MEMBER BLANC: I thought it was  
10 interesting. It was an interesting exercise, and it  
11 underscored some of the limitations of the state of the  
12 art. And how you end up using, you know -- it actually  
13 comes back -- it will circle back for the discussion of  
14 the next chemical where, you know, you're -- you end up  
15 using a certain endpoint because that's the endpoint you  
16 have the data for.

17           So it was illuminating to me that the endpoint  
18 for hydrogen sulfide was related to odor and not related  
19 to things, you know, one would really care about, so  
20 that's why. But I think, in general, I've certainly found  
21 these exercises of comparative data or a little paragraph  
22 in the report, which says now if you look at such and  
23 such, which acts in a very similar manner, here's where we  
24 are with that.

25           And so just to -- I'm not suggesting that you

1 rewrite it, but just saying this is, you know, if you want  
2 to get --

3 DR. COLLINS: Stop smoking, Stan.

4 (Laughter.)

5 PANEL MEMBER BLANC: -- if you want to get the  
6 point across, it is helpful sometimes.

7 PANEL MEMBER ANASTASIO: Yeah. I'd like to  
8 actually second that point. I thought it very interesting  
9 the comparison between the H2S, which appears to be the  
10 downstream actor in COS toxicity. To have those RELs in  
11 the COS document, I think is helpful. It also points out  
12 the fact that we don't have RELs for CS2, as far as I can  
13 tell.

14 DR. COLLINS: Oh, yeah. Carbon disulfide.

15 PANEL MEMBER ANASTASIO: There is a CS2.

16 DR. COLLINS: (Nods head.)

17 PANEL MEMBER ANASTASIO: Oh, I couldn't find it.  
18 Okay. So it would be useful to put that in the document  
19 as well, since they're all linked physiologically.

20 DR. COLLINS: The CS2 causes psychosis.

21 PANEL MEMBER BLANC: And Parkinsonism just to  
22 point out.

23 --o0o--

24 DR. COLLINS: Okay. This has to do with strict  
25 adherence to a two-week exposure acute study, while a

1 three-week exposure data exists that shows 300 ppm to be a  
2 LOEL. I think there was just some confusion that what we  
3 want to use for acute REL is a single short duration, and  
4 with, if possible, follow up for some time to see if  
5 there's any delayed effects. And that the reason we  
6 didn't use -- we did not use a two-week exposure for acute  
7 study, we used a single exposure with two-week follow up.  
8 So I don't know, I think it was just --

9 PANEL MEMBER BLANC: Confusion on my part.

10 --o0o--

11 DR. COLLINS: Why does the 8-hour REL use Morgan  
12 12-week exposure data since the study also provides 24-day  
13 data demonstrating a LOEL of 200 ppm.

14 I think this was -- basically, the 8-hour REL is  
15 applied to repeated 8-hour exposures up to a lifetime, and  
16 is based on chronic exposure, if available. So we use the  
17 longest exposure, the 86-day exposure rather than the  
18 24-day exposure. And as the exposure got longer, the  
19 effects seem to be greater on inhibition of the enzyme.

20 --o0o--

21 CHAIRPERSON KLEINMAN: Going back to that last  
22 slide. Just as a matter of clarification, you say it's a  
23 repeated 8-hour exposure over a lifetime. Are  
24 you -- you're considering that 8-hours a day for the  
25 entire -- every day for a month?

1 DR. COLLINS: It could be. It could be a work  
2 schedule. It depends on what it is. It's just a -- that,  
3 thing -- 8-hour exposure came up and the 8-hour REL was in  
4 response to specific problems certain air districts had,  
5 and they wanted -- thought that this REL might help  
6 alleviate the problem of exposure -- or inappropriate  
7 exposure.

8 DR. BUDROE: It's directly related to off-site  
9 worker exposure. On site would be covered by occupational  
10 standards for good or for ill. But off-site workers  
11 districts had a concern with, so that's why the 8-hour REL  
12 was developed, also for day care centers.

13 CHAIRPERSON KLEINMAN: But it applies for 365  
14 days a year, as opposed to, you know, a standard 5-day  
15 work week kind of thing. I just wanted it --

16 DR. BUDROE: Correct.

17 CHAIRPERSON KLEINMAN: -- clear in my mind.

18 DR. BUDROE: It gets modeled in.

19 CHAIRPERSON KLEINMAN: Okay. Thank you.

20 --o0o--

21 DR. COLLINS: Add expanded data from Benson et  
22 al. and the Lovelace Annual Report. We actually did that,  
23 and it was a conformation of the acute REL data that we  
24 got from Morgan. It's unfortunate they didn't give actual  
25 incidences, because it certainly would have helped

1 strengthen the database for the acute REL.

2           So the results were consistent with what we had.  
3 Unfortunately, Benson did not give incidents of the  
4 various adverse effects. It would have been very useful  
5 for quantitative determinations.

6                               --o0o--

7           DR. COLLINS: And expand description of COS in  
8 natural sources as a Captan breakdown product, and in  
9 environmental tobacco smoke. And basically, all those  
10 have been put in as well as a paper last year about  
11 ambient levels of carbonyl sulfide in Beijing China.

12           Sorry about all the tongue twisters.

13           (Laughter.)

14           CHAIRPERSON KLEINMAN: Thank you. All right. So  
15 I'd like to invite any other comments from members of the  
16 Panel.

17           PANEL MEMBER GLANTZ: This is Stan. I don't have  
18 anymore.

19           CHAIRPERSON KLEINMAN: Thank you, Stan.

20           PANEL MEMBER ARAUJO: I do have some comments. I  
21 think that it was a very, very good review, but I want  
22 to -- I would like to bring attention to the comment from  
23 Melanie, that one of the products of the -- this compound  
24 is hydrogen sulfide, and which is present in our body.

25           And as a matter of fact, and this is something

1 that is missing in the review, is that it almost appears  
2 like everything that is related to the carbonyl sulfide is  
3 bad and is toxic. And it turns out that the hydrogen  
4 sulfide is not only present in our body, but it can have  
5 like beneficial effects. It has presently been recognized  
6 as a possible neurotransmitter. And in some models of  
7 ischemia/reperfusion and models of diabetic cardiomyopathy  
8 and inferred toxicity, it has been shown that it actually  
9 can be protective.

10           And it is not clear how, and it's been  
11 hypothesized that it is via induction or activation of the  
12 Nrf2 pathway and of regulation of antioxidant genes.

13           So I think that it is just very complex, and it's  
14 an area that we're having a very small molecule that has  
15 been over a very long time, and it's surprising how much  
16 is really unknown.

17           In the entire review, I think that we're sort of  
18 like a hand waving. You know, the review is good in the  
19 sense that is this is the state of the field, that we're  
20 hand waving. You know, we don't really know how is it  
21 toxicity?

22           It seems that you discuss it like several times  
23 during -- throughout the document. You know, it could be  
24 due to this, it could be due to that. I think that it  
25 needs to be even more put forward, you know, that it's

1 just not known. And then put up a prong, what other  
2 possible mechanisms how that it induces toxicity, and also  
3 show that some of these compounds, and it could actually  
4 exert beneficial effects and put some references about  
5 these actions that I'm mentioning.

6 So one possibility that I bring out, whenever I  
7 see these controversies or, you know, whether something is  
8 toxic or something is beneficial, is that it may be dose  
9 related. You know, it may at very low doses, and whatever  
10 doses that he's found in the body or the endogenous levels  
11 of some of these compounds, it is actually good.

12 So in that case, when we regulate, we don't  
13 really want to go that low, I mean, below the endogenous  
14 levels and -- because and -- we could be inducing actually  
15 some harm.

16 And above certain concentrations, so you could  
17 activate other pathways that makes it toxic, and what are  
18 these other pathways. And I don't know. I don't know if  
19 it is just like the inhibition of the cytochrome oxidase  
20 of which appears to be a good candidate, or there are any  
21 other pathways that haven't been investigated.

22 But I think this actually should really just put  
23 more up front. And I don't really have any good comment  
24 about, you know, how to estimate like the uncertainty  
25 factors and when there is so much that is unknown, and

1 especially when we have a compound that generates  
2 compounds that could have like a beneficial effect.

3           So if we go too low, so maybe it's actually not  
4 good, but we don't really have data to say that we should  
5 go below a certain level or not.

6           So honestly, I don't know what to recommend, you  
7 know, in that regard, and -- but I do recommend to be  
8 cautious at least, and to present the data and to show it,  
9 and to say -- and to discuss it. I think that that  
10 portion is missing.

11           DR. COLLINS: You're saying like many small  
12 molecules, like carbon monoxide and NO have found almost  
13 hormonal effects into the body.

14           PANEL MEMBER ARAUJO: Absolutely. Carbon  
15 monoxide is the same situation. Carbon monoxide is --  
16 also has been shown to be -- to act as a neurotransmitter,  
17 has also been shown to process anti-inflammatory and  
18 antioxidant and actions at those levels. But you do --  
19 you give very high doses of carbon monoxide, so it induces  
20 like, you know, a toxicity at the respiration level by  
21 binding it to the hemoglobin.

22           So it really depends on the dose. It also  
23 depends on whether it is at the cellular level versus a  
24 multi-organ system, like in the body. And I was doing  
25 some searches to see whether just at a cellular level,

1 just if you take cells and you put carbon sulfide, if at  
2 least that has been elucidated, and I don't see much of  
3 any literature, and it is surprising to me.

4 DR. COLLINS: Well, there is something called  
5 know hormesis.

6 PANEL MEMBER ARAUJO: Right.

7 DR. COLLINS: And hormesis is an effect where  
8 there will be a dose response curve. And at the very  
9 lowest level, there will be a beneficial effect, and --  
10 but it's sort of like some toxicologist except that some  
11 think that it's being pushed like people -- like lead --  
12 showing that lead -- well, lead might have this little  
13 beneficial effect. So, you know, just don't get there and  
14 a little bit of lead is not going to hurt you. Yeah.

15 DR. MARTY: So just to comment on considering the  
16 endogenous versus exogenous exposures to really anything.  
17 So, you know, it's clear that there is benefits to  
18 endogenously-produced compounds, including H<sub>2</sub>S. So we  
19 just had a little look-see at that from the Aliso Canyon  
20 gas leak where there were measurements of H<sub>2</sub>S in the  
21 ambient air.

22 So when you're thinking about it from a  
23 toxicological perspective, I think you have to be really  
24 careful about adding on to endogenous levels of any sort  
25 of chemical, because what you're doing there is that --

1 and, in fact, the toxicity that you measure is the result  
2 of additions to the endogenous levels for a number of  
3 compounds.

4           So it's -- I think it's important to be careful  
5 about how much uncertainty factors you use, because you  
6 don't want to get, you know, below what is a natural  
7 background, for example, which is an issue with the ozone  
8 standard. There's one example of that.

9           But I -- you know, I think it's, you know, a  
10 cautionary tale, be careful about saying, oh, it's okay,  
11 because we have endogenous levels of this stuff. If we're  
12 adding to that endogenous level, we really don't know what  
13 we're doing at the low end of adding, but you can see if  
14 you add more and more, you start to get into toxic  
15 effects, so it's a tricky business.

16           PANEL MEMBER ARAUJO: May I add -- also comment  
17 on that. I agree, and I think we -- we are faced with a  
18 question of could it be that it is good or beneficial  
19 after whatever is in the body, and if you supplement it  
20 somehow, so you're already crossing that threshold, and  
21 you start seeing the toxic effect, and -- however, I am  
22 surprised by some of these papers that have been appearing  
23 presently.

24           So I have here in front of me one paper published  
25 lasts year, where they administer -- they did administer

1 carbonyl sulfide. They administer sodium hydrosulfide,  
2 which is a donor for hydrogen sulfite, so it's metabolized  
3 and it induces -- it produces hydrogen sulfide, and that's  
4 clearly induced toxicity. So they're adding on top of.

5           Now, they're just adding one compound that comes  
6 from the metabolism of the carbonyl sulfide. I'm not  
7 saying that this is what is going to happen, and I haven't  
8 seen any work or where actually they give like a low dose  
9 of carbonyl sulfide and they see beneficial effects. No.  
10 But it not means I'm saying that, but I'm just saying that  
11 some of the compounds that we're attributing toxicity to  
12 may actually be good at certain concentrations, and that  
13 should be expressed in the document, because this is part  
14 of the -- this is part of the general lack of sufficient  
15 knowledge, you know. And perhaps one of the things that  
16 we need to consider when we use one uncertainty factor  
17 versus another.

18           PANEL MEMBER BLANC: Well, I guess I would take a  
19 somewhat temporizing view, and I don't think I would  
20 insert an explicit statement saying carbonyl sulfide could  
21 be good for you because it -- you know, hydrogen sulfide  
22 could be good for you. It's a little bit too many things.

23           But I think it's certainly fine to have a  
24 statement that says this is a complex and poorly  
25 characterized area of metabolism, and it includes

1 biological effects at low levels that are not well  
2 characterized, or something like that.

3           But the problem with a statement that says it  
4 could be good for you is that it really could come back as  
5 a club to be used against regulatory interventions. And  
6 so I would -- and that's not your intent, but that's, in  
7 fact, how it would potentially be used. And that that's  
8 clearly been the intent of people who may have, in some  
9 way, tried to argue that a little bit of lead is a good  
10 thing.

11           So perhaps I'm a little overly cautious, but I  
12 think I would shy away from that. One come accomplish  
13 what you're saying in terms of emphasizing the uncertainty  
14 with a more neutral sentence or two.

15           PANEL MEMBER ARAUJO: One of the problems is this  
16 approach is that if we will be just ignoring a whole body  
17 of literature that is -- that is there. And I think that  
18 the responsibility of the agency, and it is at least in  
19 the portion of where he presents in literature, that the  
20 literature is presented in an unbiased way. So by no  
21 means, I am suggesting that we should include a statement  
22 where we hypothesize and that carbonyl sulfide could have  
23 some beneficial effects. No. I mean, there is no data  
24 whatsoever that it is presented.

25           The data that I have referred to is in relation

1 to the hydrogen sulfide. So there is a portion in the  
2 document where it discusses the mechanism of the toxicity  
3 induced by the carbonyl sulfide. And it says that it  
4 produces like hydrogen sulfide and some other compounds,  
5 and hydrogen sulfide is an inhibitor of cytochrome  
6 oxidase, and that's a possible mechanism of how induces  
7 toxicity.

8           That is a portion where actually they could  
9 include. However, hydrogen sulfide has also been reported  
10 to be an endogenous gaseous molecule that could exert in  
11 some, I don't know if you want to say beneficent effects  
12 or you could say just an anti-oxidant and  
13 anti-inflammatory effects.

14           So doesn't say -- that shouldn't be really  
15 interpreted that because of the issue of what is -- what  
16 the hydrogen sulfide is doing that now then we need to  
17 conclude that mostly carbonyl sulfide is also doing. That  
18 could point out is to -- in the direction is that maybe  
19 hydrogen sulfide is not really the mediator of the  
20 toxicity induced by the carbonyl sulfide.

21           We cannot attribute all the toxicity to the  
22 hydrogen sulfide because the hydrogen sulfide could  
23 actually be doing some positive things. And that is just  
24 not known.

25           PANEL MEMBER BLANC: Well, it is very well known

1 that, in fact, hydrogen sulfide is a cytotoxic anoxic  
2 injury at levels of which it exceeds by orders of  
3 magnitude low endogenous levels. So I think -- I think  
4 what you're saying is comparing two different things in a  
5 sense. If one makes the argument that at the levels we're  
6 talking about, the one-for-one metabolism to hydrogen  
7 sulfide, you'd get one hydrogen sulfide molecule for each  
8 molecule of carbonyl sulfide. That we're not talking  
9 about exposure levels that are in the experiments that  
10 they're citing, because they're referring to the data that  
11 they have, the animal studies that they have.

12 Those are not levels that are in the range of  
13 endogenous biological hydrogen sulfide. And so again, I  
14 would just say I think there's ways to say this without  
15 overstating it to a level which would be prone to  
16 misinterpretation or miss -- even misuse, thinking to the  
17 regulatory intention of the document to inform public  
18 health policy.

19 CHAIRPERSON KLEINMAN: But I think Dr. Marty's  
20 comment about the distinction between the endogenous and  
21 the exogenous is really important, and that endogenous  
22 what we're worried about is an exposure that really raises  
23 the internal level above the normal physiological  
24 background. And under that physiological background, we  
25 have defenses to deal with it, but above that, you start

1 to see the toxic effects.

2           And I think one of the key things is if you block  
3 the conversion of COS to sulfide -- hydrogen sulfide, the  
4 toxic effect drops off. So there's a real mechanistic  
5 link to toxicity at these more than physiological levels.  
6 Unfortunately, we don't have enough knowledge to be able  
7 to actually do the toxicokinetics and dynamics to  
8 calculate what those physiological levels are. So we have  
9 to be a little more conservative, I think.

10           PANEL MEMBER RITZ: Plus, we don't really know  
11 what the brain levels are versus the levels maybe in the  
12 urine or in the blood, right?

13           CHAIRPERSON KLEINMAN: Right. That is true.  
14           So are there any other points?

15           Dr. Buckpitt.

16           PANEL MEMBER BUCKPITT: I've got one very minor  
17 comment, but mixed function oxidase is really a term that  
18 went out in the 1970s. So if we can just update it to  
19 monooxygenase. You find that in Gillette's old book 1971,  
20 but not probably beyond that.

21           PANEL MEMBER BLANC: A little bit later than  
22 that.

23           (Laughter.)

24           PANEL MEMBER BUCKPITT: I've been around long  
25 time, Paul.

1 PANEL MEMBER BLANC: Too long.

2 PANEL MEMBER BUCKPITT: That's right. I get the  
3 point.

4 PANEL MEMBER ANASTASIO: This is Corte Anastasio.  
5 I had just a few points. On page two, the hydroxyl  
6 radical rate constant, I think there's a typo there. I  
7 think it should be -- it's written as 2 times 10 to the  
8 minus 5. I think that should be 2 times 10 to the minus 15.  
9 The highest value you can have is 10 to the minus 10. So  
10 that's just a typo.

11 And then on page four, part of this mirrors what  
12 Kathy had said earlier, I think a little more granularity  
13 on the ambient data would be helpful. For example, are  
14 COS levels higher when you're refining these? One would  
15 expect that. No.

16 DR. COLLINS: I don't know.

17 PANEL MEMBER ANASTASIO: Oh, we don't. Okay.

18 DR. COLLINS: I don't think we know.

19 PANEL MEMBER ANASTASIO: So I guess maybe the  
20 thing to do then is for some of these values that are  
21 slightly higher, I don't know in the literature if there's  
22 any evidence of nearby sources. That would be helpful.

23 I think also you can see that there's a problem  
24 with the range that you give for the U.S. values. You  
25 know, you say --

1 DR. COLLINS: That was from two different papers.  
2 I quoted from a review, and I'm going back and working on  
3 that.

4 PANEL MEMBER ANASTASIO: Okay. So those -- yeah,  
5 those two pieces are inconsistent in that sentence.

6 DR. COLLINS: Yeah.

7 PANEL MEMBER ANASTASIO: So to the extent you  
8 classify sites as rural, urban, you know, near a refinery,  
9 that would be helpful, but maybe the data is not there.  
10 The other part is the new data that you put in  
11 for the Beijing concentrations. Actually stating what  
12 those concentrations are would be helpful.

13 DR. COLLINS: Okay.

14 PANEL MEMBER ANASTASIO: And again, any  
15 information about nearby sources that might be  
16 contributing to higher concentrations would be useful to  
17 know. Yeah, those are the only comments I had.

18 CHAIRPERSON KLEINMAN: Are there any other  
19 specific changes that the Panel wants to recommend before  
20 the document gets finalized?

21 PANEL MEMBER ARAUJO: I just have a very small  
22 comments. One has to do with the numbering of the figures  
23 and tables. So you're having a sequential ordering in  
24 this document. Figure 1 -- figures and tables together,  
25 and then you start with Table 3.

1 DR. COLLINS: Okay. We can -- yeah, we started  
2 to get in the habit of numbering the tables to sort of  
3 identify what part they were with. But if you want to --  
4 if you just like one to four, we can do that.

5 PANEL MEMBER ARAUJO: Oh, got it. Okay. All  
6 right. Yeah, I'm just seeing that both documents used  
7 like different ways. This is -- one uses --

8 DR. COLLINS: They're in Oakland and we're in  
9 Sacramento.

10 (Laughter.)

11 PANEL MEMBER ARAUJO: Okay. And the other is  
12 also very small. On page 22, on the table for the current  
13 reference -- the current RELs.

14 DR. COLLINS: Yeah.

15 PANEL MEMBER ARAUJO: So you're showing the  
16 chronic reference exposure at the bottom in micrograms per  
17 cubic meter, and in between parentheses parts per billion.  
18 However, before you've shown exactly the -- you always  
19 show the parts per millions before, and then the  
20 micrograms and here you invert it. So just be consistent  
21 throughout it.

22 DR. COLLINS: Okay.

23 PANEL MEMBER ANASTASIO: Can I add on a related  
24 note? I mean, I know it's confusing, right, sometimes  
25 you've got a mass-based concentration, sometimes you have

1 a ppm mixing ratio. Sometimes in the document -- well,  
2 generally you give both --

3 DR. COLLINS: Yes.

4 PANEL MEMBER ANASTASIO: -- which is preferred,  
5 but there are times where you give only one or the other.  
6 So it would be nice to always have both.

7 DR. COLLINS: Okay. Good.

8 PANEL MEMBER HAMMOND: I was going to bring up in  
9 the other document, but this is true. I brought this up  
10 before. Along that line. Within that, if you're going to  
11 do a mass base, I think decide for the entire document to  
12 be either milligrams or micrograms per cubic meter or ppm  
13 or ppb throughout, and do the conversions as necessary,  
14 because it's very easy for a reader to get confused by  
15 that. So just -- I know that --

16 DR. COLLINS: We do some of that in here, because  
17 some of the things we said were parts per trillion and I  
18 had made them to parts per billion just for consistency.

19 PANEL MEMBER HAMMOND: Right, right. No, no.  
20 That's fine. And I -- but I was thinking that was a  
21 comment I head for the next paper that we're going to talk  
22 about. But, in general, I brought this up before. It  
23 does get confusing. And trying to carry some numbers  
24 around as you're reading it and your suddenly going from  
25 milligrams to micrograms. And since there were all these

1 uncertainty factors that are factors of 10, 100, 1,000,  
2 well, then suddenly we're getting in the same realm.

3           So if, within a document -- I agree we should  
4 have both the mass-based and the volume-based units, but  
5 keep the same units, and do the conversions as you need to  
6 for the papers, whatever is most convenient, in a report.  
7 But either milligrams or micrograms per cubic meter,  
8 either ppm or ppb.

9           PANEL MEMBER BLANC: I'd like to make a motion  
10 that we approve the document, bearing in mind that there  
11 may be some minor corrections, including an expansion of  
12 the discussion of the limitations of the knowledge base on  
13 metabolism consistent with Dr. Araujo's comments. So I  
14 would move that we accept the document with that in mind.

15           PANEL MEMBER ANASTASIO: Second the motion.

16           CHAIRPERSON KLEINMAN: All right. Can we get a  
17 consensus on that.

18           Jesús?

19           PANEL MEMBER GLANTZ: Stan votes yes.

20           CHAIRPERSON KLEINMAN: Okay.

21           PANEL MEMBER ARAUJO: Yes.

22           PANEL MEMBER ANASTASIO: Yes.

23           PANEL MEMBER RITZ: Yes.

24           PANEL MEMBER BUCKPITT: Yes.

25           PANEL MEMBER HAMMOND: Yes.

1 PANEL MEMBER BLANC: Yes.

2 CHAIRPERSON KLEINMAN: Very good, I agree too.

3 So the State law requires OEHHA to seek the  
4 advice and recommendations of the Panel and to take the  
5 recommendations to heart and incorporate those into the  
6 final document. And I think with the discussion today,  
7 which I think was a very good approach to looking at these  
8 documents, I want to congratulate everybody on putting the  
9 comments on a slide, so that we could all see them, and  
10 see how they were responded to. I think that's a great  
11 approach.

12 So I think we've fulfilled our statutory  
13 obligation in this regard.

14 PANEL MEMBER GLANTZ: This is Stan, just one kind  
15 of procedural comment. Since the motion was to accept the  
16 report subject to the small changes that were discussed, I  
17 think I'd like to -- I don't know if we need to make a  
18 motion, but what we've done in the past is that we've  
19 delegated to the Chair the authority to review the revised  
20 document and accept it on behalf of the Committee. So I  
21 don't know if we need to --

22 PANEL MEMBER BLANC: No, but that's consistent.  
23 Thanks for reiterating what has been our standing  
24 approach.

25 PANEL MEMBER GLANTZ: Yeah. So just to have it

1 on it the record, so -- because the Panel does have to  
2 actually approve the final, final, final document. So  
3 we're just delegating, Mike, you -- the authority to you  
4 to offer that approval.

5 CHAIRPERSON KLEINMAN: I'll be happy to act in  
6 that regard after the document is finalized.

7 So the Panel didn't have any additional changes  
8 to recommend, other than the ones we've already discussed.  
9 And I think that allows us to wrap-up this part of the  
10 agenda. So thank you very much.

11 I think, at this point, before we start with the  
12 next document, I'd like to offer people a 5 to 10 minute  
13 break, and then we'll reconvene at 10 after.

14 (Off record: 11:04 AM)

15 (Thereupon a recess was taken.)

16 (On record: 11:17 AM)

17 CHAIRPERSON KLEINMAN: Okay. I'd like to call us  
18 back to order, and reconvene the meeting.

19 And before we move forward with EGBE, I just  
20 wanted to mention that Dr. Araujo wasn't here when we went  
21 around the table earlier, but he did come in shortly  
22 thereafter, and has been here for the whole discussion.  
23 So he is -- I just wanted to make sure that everybody knew  
24 that was here.

25 Stan, are you still with us?

1 PANEL MEMBER GLANTZ: I am.

2 CHAIRPERSON KLEINMAN: Terrific.

3 All right. So we're now going to take up the  
4 discussion of the reference exposure levels for EGBE,  
5 which stands for ethylene glycol mono-n-butyl ether.

6 DR. BUDROE: And the -- we're going to have a  
7 team presentation here. So Dr. Jianming Yang will be  
8 presenting the actual EGBE REL document, and then Drs.  
9 Daryn Dodge and Rona Silva will be presenting the response  
10 to comments.

11 (Thereupon an overhead presentation was  
12 presented as follows.)

13 DR. YANG: Good morning. I will present a draft  
14 of the non-cancer reference exposure level for ethylene  
15 glycol mono-n-butyl ether, EGBE. My name is Jianming  
16 Yang, staff toxicologist.

17 --o0o--

18 DR. YANG: So EGBE is a solvent. In terms of  
19 solubility, it has characteristics of both alcohol and  
20 ether. So no surprise it is got a wide application, such  
21 as use in consumer products and the building materials.  
22 It is a high production volume chemical. It's a low  
23 volatile chemical. EGBE, the vapor pressure is 0.88  
24 millimeter mercury at 25 degrees.

25 EGBE is a major toxicity in human including skin,

1 skin, respiratory system irritation, which is different  
2 from the EGBE the major toxicity in rodent, such as  
3 hemolytic red blood cell and damages in the liver and  
4 forestomach.

5 --o0o--

6 DR. YANG: Slide 3. Since EGBE has been used  
7 widely, its production is huge. For example, about  
8 180,000 tons of produced in U.S. This in 1992 data. And  
9 about 150,000 tons produced in the European Union. The  
10 world wide estimated production is as high as 500,000  
11 tons. EGBE's major use in the paints and the coatings is  
12 about 13 -- about in 75 percent, and 18 percent for the  
13 metal cleaners and the household cleaners.

14 --o0o--

15 DR. YANG: Slide 4. EGBE toxicokinetics. EGBE  
16 can be absorbed through the inhalation, ingestion, and  
17 dermal exposure and distributed to the tissues rapidly.  
18 EGBE's metabolism is mainly through the alcohol and  
19 aldehyde dehydrogenases. In rats, there are three  
20 metabolic pathways including: 1, oxidized to 2  
21 butoxyacetic acid, called BAA; 2, conjugated with  
22 UDP-glucuronide acid; and 3, conjugated with the sulfite.

23 --o0o--

24 DR. YANG: Slide 5. EGBE's elimination is mainly  
25 through its metabolized form of BAA through the urine.

1 Its half-life in the human is about 40 minutes. And  
2 elimination for half-life for the BAA through the urine  
3 about six hours. In occupational exposures peak excretion  
4 of BAA in urine is between 6 to 12 hours after exposure.

5 --o0o--

6 DR. YANG: Slide 6. This figure shows EGBE  
7 metabolic pathways. EGBE in the rat, like I mentioned  
8 before, can be conjugated with the glucuronide and  
9 sulfate. EGBE can be metabolized to the BAA through the  
10 alcohol and aldehyde dehydrogenases. In human only, the  
11 BAA can be conjugated with glutamine and glycine.

12 --o0o--

13 DR. YANG: Slide 7 is for the acute reference  
14 exposure level. It's based on human inhalation studies.  
15 One LOAEL at the 98 ppm was identified. Actually, this  
16 is, however, three human studies. Each study has two to  
17 four human subjects. And the exposure for either eight  
18 hours or four hours. Eight hours include 98 and 195 ppm  
19 exposure in chamber. Four-hour exposure is for the 113  
20 ppm in the room exposure. EGBE critical effect is in  
21 human acute exposure is ocular and nasal irritation.

22 --o0o--

23 DR. YANG: Slide 8. The point of departure, we  
24 use the LOAEL 98 ppm. Because this is for the acute REL  
25 derivation, so no time adjustment is needed. And the

1 LOAEL uncertainty factor equal to 10. This is just  
2 default by OEHHA guidelines. And interspecies uncertainty  
3 factor equal to 1, because we use human data.  
4 Intraspecies toxicokinetic equal to 1. This is mainly for  
5 the acute effects that is from the site of action. It's  
6 not considered from the systemic effects.

7 Intraspecies toxicodynamic equals to 10 because  
8 of the small sample size. And also for the children. If  
9 the children have asthma, may be more sensitive to the  
10 EGBE. The calculated cumulative uncertainty factor equal  
11 to 100, so we calculated the acute REL about 1 ppm.

12 --o0o--

13 DR. YANG: Slide 9. EGBE chronic toxicity is  
14 based on NTP, National Toxicology Program, 2000 study.  
15 The use of the two species, rat and mice, inhalation  
16 studied for two years. Animals were exposed to EGBE six  
17 hours per day, five days per week at concentration 31,  
18 62.5, and 125 ppm. This is for rat. For the mice, the  
19 exposure for the 62, 125 and --

20 PANEL MEMBER GLANTZ: That is Stan. What slide  
21 are you on? I've got --

22 DR. YANG: Slide 9.

23 PANEL MEMBER GLANTZ: Okay. Okay. All right.  
24 I'm on 9. I was just -- I was afraid --

25 DR. YANG: Okay. Okay. The exposure to rat and

1 mice was different. Dose group was -- each group was with  
2 50 rats or 50 mice. The highest study exposure dosage was  
3 selected based on -- produce the 10 to 15 percent  
4 differentiation in hematologic indices.

5 --o0o--

6 DR. YANG: Slide 10, the chronic toxicity. Our  
7 major focus on the non-neoplastic effects. In rats, it is  
8 included hyaline degeneration of the olfactory epithelium  
9 and Kupffer cell pigmentation in livers. In mice, include  
10 forestomach also and epithelial hyperplasia, hematopoietic  
11 cell proliferation, and hemosiderin pigmentation in the  
12 spleen and the Kupffer cell, also have the bone marrow  
13 hyperplasia. The major effect in rat they cause the rat  
14 blood cell damage and cause anemia. So later those  
15 indices are actually from the anemia.

16 --o0o--

17 DR. YANG: Slide 11. Show you how we selected  
18 point of departure from the chronic derivation. When we  
19 selected the point of departure, we considered the  
20 toxicity between species with specific endpoints, such as  
21 for the hemolysis effect, Compared to rodent, human is  
22 sensitive. The hepatic Kupffer cell pigmentation is a  
23 secondary effect from EGBE's hemolytic effect, and was not  
24 considered at the point of departure.

25 Rat nasal olfactory epithelial hyaline

1 degeneration was the most sensitive toxicity endpoint in  
2 NTP study. So that's why we selected this endpoint as the  
3 point of departure.

4 --o0o--

5 DR. YANG: Slide 12. This table summarizes some  
6 chronic toxicity incidence from NTP 2000 study. There are  
7 included four endpoints, the nasal olfactory epithelial  
8 hyaline degeneration, liver Kupffer cell pigmentation,  
9 forestomach epithelial hyperplasia, and forestomach  
10 ulcers.

11 The first two endpoints include both rats and  
12 mouse studies. The latter two endpoints is only for mice.  
13 From the dose group design, we can see the rat have the  
14 exposure doses for the 31, 62, and 125 ppm. And for the  
15 mice is exposure for the 62, 125, and 250 ppm exposure.  
16 This gives us the general idea, you know, the rat is more  
17 sensitive than the mice. And liver Kupffer cell  
18 pigmentation because it is the second effect from their  
19 blood cell hemolysis, because that is not as sensitive in  
20 the human. We are not considered that endpoint as a point  
21 of departure also.

22 So we will focus on the nasal olfactory  
23 epithelial hyaline degeneration. We can see in the  
24 control group both male and female rats is incidence is 13  
25 out of 48 or 50. And the no dose group for the male rat

1 is 21 out of 49, and for the female it is 18 out of 48.  
2 But the data for the male and the female rat in the no  
3 dose compared to control grown is not significant by the  
4 status analysis.

5 If we combine the male and the female rats  
6 together, you can see the no dose group have the incidence  
7 39 out of 97. That is significant. It is higher than the  
8 control group. This shows you the sample size the power  
9 in the statistical analysis. And we can see how the --  
10 where good dose response in the rat for this endpoint.

11 --o0o--

12 PANEL MEMBER BLANC: Did you show what the dose  
13 response was there statistically?

14 DR. YANG: Yeah. Actually, I have -- the last  
15 slide I have, you know, the batched doses that have  
16 occurred will show the dose responses were less than. You  
17 see you -- if you see, you know, the stated significant  
18 level, you see the force for the male rat. The no dose is  
19 21 out of 49 compared to control is not significant. But  
20 for the -- neither dose, the 62 ppm, 23 out of the 49 is  
21 significantly higher than the control. And if you see the  
22 high dose exposure 125 is much higher. You have three  
23 studies. That means the P value is less than the 0.00.

24 PANEL MEMBER BLANC: So I was making the point --

25 DR. BUDROE: If you're asking did we do --

1           PANEL MEMBER BLANC:  -- that what you've tested  
2 here is not a dose response.  What you've done is a series  
3 of pairwise comparisons.  And the fact that the P maybe  
4 point 0.05 and then it may be 0.01 and then it may be  
5 0.001 is not a statistical test of a dose response.  Maybe  
6 it's in your text.  It's certainly not in your table.

7           DR. YANG:  Significant levels less.  Yes, that's  
8 correct.

9           PANEL MEMBER BLANC:  So you just need to be  
10 cautious.  I don't doubt that it does look on the face of  
11 it, but if you wanted to do a test for trend or some  
12 statistical test that showed there was a monotonic  
13 relationship between dose and response, you could easily  
14 do that, and I think your table would be strengthened by  
15 doing that.  So you just need to be cautious when you --  
16 not to confuse the test that you've done with what it is  
17 that you're testing.

18           DR. YANG:  Yeah, that's a good point.  Actually,  
19 that --

20           PANEL MEMBER BLANC:  And that's why you get into  
21 trouble -- not into trouble.  That's why, you know, you  
22 end up saying, well, I can't show that there's a  
23 difference between -- at 31.2, unless I combine the two  
24 sexes.  But, in fact, I don't know what your test for  
25 trend would be in just the males or just the females,

1 because you don't present that, at least in the table.

2 DR. YANG: Yeah, yeah, that's correct.

3 DR. BUDROE: Correct. We could do a trend test  
4 and we'd certainly consider adding that to the document to  
5 that table.

6 PANEL MEMBER BLANC: And maybe Stan has a  
7 comment.

8 PANEL MEMBER GLANTZ: Yes, Stan. I just wanted  
9 to agree with what Paul said.

10 PANEL MEMBER BUCKPITT: How do the numbers for  
11 your controls look like the historical controls, are they  
12 in line with what they see historically?

13 DR. YANG: Historical controls.

14 DR. BUDROE: We don't -- I don't have that  
15 comparison at hand.

16 PANEL MEMBER BUCKPITT: It might be worth looking  
17 at --

18 DR. BUDROE: Looking at the NTP as historical  
19 control database.

20 PANEL MEMBER BUCKPITT: -- because you've got --  
21 I mean, that's significant, right 30 out 50 -- or 13 out  
22 of 50? So it would be interesting to look back to see  
23 what the historical controls were.

24 DR. YANG: The background level is high -- is a  
25 little high. If you look at the liver Kupffer cell

1 pigmentation, the male rat that's actually in the control  
2 group is pretty high, 23 out of the 50. That's 46  
3 percent. Yeah, yeah, I agree with that. Yeah, actually  
4 next slide -- Slide 14 --

5 --o0o--

6 DR. YANG: Maybe I show slide 14, you know, the  
7 first -- the impact to slide 13, you know, this may show  
8 the dose response relationship. This actually -- we can  
9 see -- we use the female rats, you know, for the endpoint  
10 of the rat and nasal olfactory epithelial hyaline  
11 degeneration indices. We can see the three doses -- three  
12 exposure groups and the control group who feature this  
13 very well dose response issue here. And the more  
14 important, you know, the BMDL and BMD is very close. That  
15 means those data free to BMD is the more aware. And we  
16 now back to slide 13.

17 Slide 13 is show you the benchmark dose analysis  
18 of BMDL05, and NOAEL and LOAEL. This is just summarize  
19 from NTP study. For the BMDL05 is down by OEHHA used the  
20 EPA benchmark dosage software. We can see the first in  
21 endpoint nasal olfactory epithelial hyaline degeneration.  
22 For the male rats, we've got eight. All this used  
23 dichotomous model. And submodel inside the parentheses,  
24 this is generated from the Probit Model has got bad  
25 statistics we rely on a lot. And then for female rat is

1 7.6, we use the model is Logistic Model.

2 So this is why we select the same point. BMDL05  
3 as the point of departure, because a little lower than the  
4 male rat, and the more sensitive. And also this endpoint  
5 is more sensitive. And on the other endpoint, and I  
6 mentioned it before, we are not considered as a point of  
7 departure, because of like the liver Kupffer cell  
8 pigmentation, I heard before OEHHA submitted the talk to  
9 the SRP and just got it rejected because that is the  
10 second effect from the rat blood cell hemolysis.

11 PANEL MEMBER BLANC: So let's come back to  
12 something related to the first thing I asked about. You  
13 combined the male and female rats in your previous table,  
14 because you argue that there really isn't any difference  
15 in the pattern of the response.

16 DR. YANG: Yes.

17 PANEL MEMBER BLANC: And the statistical test  
18 that you used to show that there was no difference  
19 statistically between the females and the males was what?

20 DR. YANG: You know, I think that maybe how the  
21 better answer, you know, when -- you know, the data, you  
22 know, distribution maybe is normal, you know, the  
23 distribution the sample size does, you know, play a bigger  
24 role. You know, if a small sample size, you may not see a  
25 significant effect that got the P value less than 0.05.

1           But the bigger sample size, you may got a  
2 significant level, unless -- that's then reflecting, you  
3 know, representative. Because a big sample size, you  
4 know, is representation. Maybe in the battle. You know,  
5 human clinical study, you know, FDA uses it. You know,  
6 they have a different phase study. Each number is  
7 different.

8           But when you want to use the medicine to the  
9 human, they need a bigger sample size.

10           PANEL MEMBER BLANC: Well, go back to the slide  
11 where you have the rats, the females, the males, and  
12 everything else.

13           DR. YANG: Okay.

14           PANEL MEMBER GLANTZ: What slide number are you  
15 going back to?

16           DR. YANG: This -- I think that slide is 12. I  
17 think it is statistics issues, you. And you may have, you  
18 know, better --

19           PANEL MEMBER BLANC: So, okay, you could make the  
20 argument that you don't have a lot of power there to show  
21 a difference between -- actually, you're not going to show  
22 a difference between the females and the males. I mean,  
23 if you did a model where you put in sex as a predictor  
24 variable, it --

25           DR. YANG: Yeah, not a --

1           PANEL MEMBER BLANC: But you'd get a point  
2 estimate of the effect too, will be like 1 or 1.006, or  
3 something. There is no difference. And if that's true,  
4 and since these data indicate that, in fact, 31.2 is not a  
5 no effect level, but it's a low effect level, as you see  
6 with the combined. And the reason why I would say that is  
7 because if you did the correct statistical test, you'd  
8 show that there's a dose response.

9           Then, in fact, whether or not your statistical  
10 model gives you a slightly lower level using just the  
11 female rats, it's actually bad science. You should use  
12 the pooled data and model it where you assume that 31.2 is  
13 a low effect level not a no effect level. I think  
14 that's --

15           DR. YANG: That's a good point. Yeah, we use  
16 that as, you know, the, yeah the NOEL for the combined,  
17 the male and female. And we may use the LOEL uncertainty  
18 factor of 10 and generated value may be even more small  
19 than currently, you know, the chronic dose we propose.

20           And this rule is already -- is lower than the  
21 other regulated agents. And like U.S. EPA you -- because  
22 we got the public comments from the American Chemistry  
23 Council, they argue that OEHHA generated, you know, the  
24 numbers really is just too low. They say it's how many  
25 times? I forgot. It is -- ten times or even to the 100

1 times lower than the other regulated agents. And like, I  
2 don't know. But yeah, you are -- definitely, it was a  
3 good point, if we use that as the NOAEL, you vet a lower  
4 numbers.

5 PANEL MEMBER BLANC: Well, you seem to come with  
6 -- I don't know if that's because of your correction  
7 factors or whatever. But in the slide you presented with  
8 the modeling, if you go forward back to where you were,  
9 didn't one value come out to 7.6 and the other 8.2 or  
10 something?

11 PANEL MEMBER GLANTZ: Are on you slide 14 now?

12 DR. BUDROE: No.

13 PANEL MEMBER BLANC: You're going the wrong way.  
14 Yeah, well, was it the slide before that?

15 CHAIRPERSON KLEINMAN: Slide 13.

16 PANEL MEMBER BLANC: Yeah. So one is 7.6 versus  
17 8.2 with the Probit model.

18 DR. YANG: Yea, they are pretty close. You know,  
19 yeah, pretty close.

20 PANEL MEMBER BLANC: But doesn't at least the  
21 science support using the combined?

22 DR. YANG: You know, for risk I would have a  
23 general idea when you use the BMDL05 or NOAEL, usually we  
24 select most of the endpoints and pick the less -- the  
25 smallest number.

1 DR. MARTY: So the reason that the combined male  
2 and female rat data has a higher number for the BMDL is  
3 because you have a larger sample size. So your 95th  
4 percent upper confidence bound is going to be a bit bigger  
5 than with the smaller sample sizes. So that's -- and we  
6 could use the male and female rat combined, because as you  
7 point out, there isn't a difference.

8 PANEL MEMBER BLANC: I mean, you must have --  
9 guys must have had some discussion internally when you  
10 were trying to decide what to use. I'm curious from -- it  
11 just seems -- it seems sort of weighted statistically.  
12 And maybe Stan or Beate, somebody, should -- maybe I'm off  
13 base. You know, maybe I've just looked it the wrong way  
14 or something.

15 Stan, do you have any?

16 PANEL MEMBER GLANTZ: I don't quite understand  
17 the point you're trying to make.

18 PANEL MEMBER BLANC: They use the female rats  
19 because the pairwise comparison -- well, they use it for  
20 two reasons. One is because they got a lower number when  
21 they just used the female rats, but that assumes that the  
22 data do not show a no effect level -- a low effect level,  
23 and so they have to use 31.2 --

24 DR. MARTY: Paul, actually, it's --

25 DR. YANG: Actually, I can saw --

1 DR. MARTY: Jianming. Sorry.

2 So it's not because the data don't show a NOEL or  
3 a LOEL.

4 PANEL MEMBER BLANC: Okay.

5 DR. MARTY: The benchmark dose modeling takes  
6 into account the sample size. It takes into account the  
7 entire dose response curve. So it's just a better way to  
8 get a point of departure than just choosing the NOEL.

9 PANEL MEMBER BLANC: Okay. I got you. But even  
10 so, if you -- maybe you do think the females and the males  
11 are different, and therefore you shouldn't combine them  
12 and then you should make that argument, or -- but if you  
13 do otherwise think they are not really biologically  
14 different based on the data you have, then the better  
15 science would be to use the bigger numbers.

16 DR. MARTY: Yeah, I think I agree.

17 PANEL MEMBER GLANTZ: I mean, going back to the  
18 earlier discussion about slide 12, I mean, I agree, at  
19 least as I understood the comment. I mean, I think you  
20 should explicitly test for dose response in those data,  
21 and you can also at the same time put a variable in for  
22 gender and test whether there's a significant difference  
23 between the male and the female rats.

24 And I -- you know, if you're looking at all the  
25 data at one for a given outcome, that ought to give you a

1 big enough sample size. They have enough power to -- you  
2 know, to see if there's a gender difference. And then  
3 depending on what that shows, that would then affect what  
4 you do in slide 13. So I think -- I don't think we can  
5 resolve this right now, but in terms of, you know, how I  
6 think OEHHA ought to proceed, I mean, that's what I would  
7 suggest doing.

8           And all of this current discussion would become  
9 moot, because you'd actually have a quantitative  
10 assessment of what made sense to do.

11           CHAIRPERSON KLEINMAN: I'd like to also chime in  
12 on the mouse data, which shows no dose response  
13 whatsoever. It's totally flat across the exposure doses,  
14 and they're barely more than the background dose. And I  
15 really question whether you can insert that data and  
16 calculate a BMDL.

17           DR. YANG: Yeah, I did.

18           CHAIRPERSON KLEINMAN: You can do it, but I don't  
19 know that it's legitimate. In fact, it looks like it's  
20 getting better as you go to the higher doses. So I think  
21 perhaps the mouse data is at best misleading.

22           DR. YANG: BMDL slide.

23           PANEL MEMBER ARAUJO: Do you know why they use  
24 these type of mice in the --

25           DR. YANG: Yeah, you're right, because, you know,

1 in the mice, the dose response some is not good. And  
2 that's why we got the BMDL is a big number. And, you  
3 know, the forestomach also is a male. We got the 64,  
4 female got a 17. You know, the male/female has been --  
5 yeah, you are right, but I didn't, you know, show you all  
6 the benchmark dose analysis occurred. The most data --  
7 you know, the fact of the dose response is not that good.

8 PANEL MEMBER RITZ: So I'm not an animal  
9 experimenter, but when I look at the data, it looks to me  
10 that it's informative to see that there's actually nothing  
11 in the mice, but it's. And so I would lead that in that  
12 table, but I would suggest to take it out of the dose  
13 response analyses, because it makes no sense. It's just  
14 in comparison to the rats where we see a dose response, at  
15 least eye-balling it, I imagine the P value will also be  
16 significant. Then we should base it on those.

17 And in the zero category, it seems like the rats  
18 either spontaneously have a lot of the nasal outcomes, and  
19 therefore they also react and the mice may not, so -- but  
20 that's how I would read this data. And I'm not an animal.

21 DR. MARTY: Okay. So just to be clear, we  
22 usually run the BMDS modeling on all of the data before we  
23 choose where we're -- which data set we're going to use  
24 for the reference exposure level. So the -- we do let the  
25 data do the talking on it. And maybe it's a waste of time

1 in some instances to do the benchmark dose modeling, but  
2 it's really fast, so -- and sometimes you can see things  
3 that you don't necessarily see just looking at the data,  
4 but we have not included -- the actual number is not based  
5 on the mouse data.

6 CHAIRPERSON KLEINMAN: I agree, but once you put  
7 it in there, then you might get somebody arguing, well,  
8 you've got a BMDL for mice, why didn't you use it? Yeah,  
9 I would think that taking it out and just parenthetically  
10 saying in the text that the mice did not show a, you know,  
11 reasonable dose response, therefore not -- they weren't  
12 used in the REL setting.

13 DR. MARTY: Yeah, I mean, we can take some of it  
14 out. But as a practice, we run them all. The best  
15 fitting models are the ones that generally get chosen that  
16 also are -- that show that there is a dose response. So  
17 embedded in the BMDS is a trend model. So it won't fit  
18 the data, if there isn't a trend.

19 PANEL MEMBER ARAUJO: But the value of the data  
20 is also -- depends on the value of the model. And so  
21 they're using rats that are impreg rats. So when you're  
22 using impreg rats, you're really looking -- you can really  
23 evaluate like at different concentrations and the effects  
24 of the different concentrations.

25 However, the mice are F1 mice from across in

1 between black 6 and C3H mice. So none of the mice are  
2 equal. All of the mice are actually different in terms of  
3 the genetic makeup. That could maybe be the reason why we  
4 cannot really see a dose response. And they just hit sort  
5 of like a threshold effect, right, either they see  
6 deletions or not or the effects or not.

7 But that's why I'm asking if anybody knows why in  
8 the toxicology program they like to use these mice?

9 DR. YANG: Cheaper, compared to the rest.

10 PANEL MEMBER ARAUJO: They're cheaper.

11 PANEL MEMBER BUCKPITT: No, they're not cheaper.  
12 They're not cheaper.

13 DR. MARTY: That's a question for the National  
14 Toxicology Program people.

15 PANEL MEMBER ARAUJO: Yeah. They use it very  
16 frequently, I know.

17 DR. BUDROE: Yeah, that's been their mouse model  
18 of choice for a long time.

19 PANEL MEMBER RITZ: Maybe to address genetic  
20 diversity.

21 DR. MARTY: Well, actually, they don't even do a  
22 very good job of that. So, you know, just a side-bar,  
23 they are moving to genetically outbred strains to better  
24 mimic diversity.

25 PANEL MEMBER ARAUJO: Right.

1           PANEL MEMBER BUCKPITT: But I think that's going  
2 to come with its own set of problems, in terms of the  
3 variability of the data. We always used outbred animals  
4 in our work, which meant that we had to use higher Ns and  
5 got a lot of criticism for doing that.

6           PANEL MEMBER ARAUJO: What Ns did you use?

7           PANEL MEMBER BUCKPITT: We used the Swiss, which  
8 is an outbred animal, Swiss mouse.

9           PANEL MEMBER ARAUJO: How many animals were  
10 grouped and were --

11          PANEL MEMBER BUCKPITT: Eight to 10 rather than  
12 three to four.

13          PANEL MEMBER ARAUJO: They're using a good  
14 number. They're using 50 animals per group.

15          DR. MARTY: Yeah.

16          PANEL MEMBER BUCKPITT: But if you have a small  
17 effect, and that's the issue, you've spent two years doing  
18 this, you have a small effect, then you're caught between  
19 a rock and a hard place. You say, well, is this one of  
20 the outbred animals that's just an outlier or is this a  
21 real effect.

22                 So if you have to go to 100 animals per sex, then  
23 you're doubling the expense of some of those studies.

24          DR. YANG: Now, we are continuing on to slide 15  
25 for the 8-hour REL derivation. The critical effect is a

1 nasal hyaline degeneration of female rat olfactory  
2 epithelium. And the point of departure we use the 7.6 ppm  
3 from the BMDL05.

4 As I mentioned before, the rat exposure for the  
5 two years and six hours per day, five days per week for  
6 two years inhalation exposure. Time-adjusted exposure, we  
7 use the point of departure times six hour per day and  
8 times five days per week, and we also times 20 divided by  
9 10.

10 What does this means? Twenty divided by 10  
11 represents the active worker in the 8-hour worker period  
12 we'll breathe the half air of the resident would breathe  
13 during 24 hours. And the human equivalent concentration  
14 at issue is equal to the time-adjusted exposure times  
15 regional gas dose ratio.

16 --o0o--

17 DR. YANG: Slide 16 is about the uncertainty  
18 factor. Actually, all these uncertainty factor is just  
19 use the default for the OEHHA guideline, such as the  
20 interspecies toxicokinetic uncertainty factor UF A-k equal  
21 to 1, UF A-d equal to square root of 10, and UF H-k equal  
22 to square root of 10, UF H-d equal to the square root of  
23 10. So that's the total UF equal to 30. We got the  
24 eight-hour REL equal to the 0.032 ppm.

25 --o0o--

1 DR. YANG: Slide 17 is for the chronic REL  
2 derivation. This is pretty much same with the 8-hour REL  
3 development is except the time-adjusted exposure without  
4 multiple 20 by 10 formula, because this is continuous  
5 exposure.

6 --o0o--

7 DR. YANG: Slide 18 also for the uncertainty  
8 factor is UF A-k equal to 1, UF A-d equal to the square  
9 root of 10, UF H-k equal to the square root 10, UF H-d  
10 equal to the square root 10. The cumulated UF total  
11 uncertainty factor is 30, the same as the 8-hour REL. So  
12 the chronic REL equal to 0.016 ppm.

13 --o0o--

14 DR. YANG: Slide 19 is just a summary for the  
15 acute REL and 8-hour REL and the chronic REL, that we  
16 proposed here.

17 --o0o--

18 DR. YANG: Slide 20 is public comment, I will  
19 turn the time to John.

20 DR. BUDROE: All right. Dr. Kleinman, we'd  
21 entertain questions on the document before moving on the  
22 response to comments.

23 CHAIRPERSON KLEINMAN: I think let's move ahead  
24 to the comments, and then we'll have our lead discussants  
25 start with those, and then we'll move on to the ACC

1 comments.

2           PANEL MEMBER BUCKPITT: Okay. When I look at the  
3 these documents, I always look to see whether the RELs  
4 make sense, and whether they're based on the best  
5 available science. I will say, as an overall general  
6 comment on the document itself, it's far less a good  
7 critical review of the literature than what we saw with  
8 TDI and MDI. It seemed like with those two documents  
9 whoever wrote the review went through the literature, but  
10 also evaluated the quality of what was done.

11           It brings me to my first big comment. And I want  
12 to make sure that the Committee understands that I think  
13 OEHHA scientists are kind of between a rock and a hard  
14 place here. You're basing your acute REL on the carpenter  
15 studies that were published in '56, but actually probably  
16 done in the late 40s and early 50s. If you look at some  
17 of the numbers from the animals, a lot of that was done  
18 earlier.

19           I have three issues with those studies. They may  
20 be absolutely the appropriate studies to base your acute  
21 REL on, but I think the Committee needs to understand that  
22 this is pretty -- it wouldn't meet today's standards of  
23 science, by any stretch of the imagination. So they used  
24 methylthiazole with unstated purity. Essentially, it was  
25 commercial material sold under the trademark of the name

1 during the year that they purchased it. That may have  
2 been technical grade material.

3           They never essentially redistilled it. They  
4 never checked the purity of the material that they were  
5 using for their studies. So we really don't know what was  
6 in that batch of material.

7           Their conduct of the inhalation exposures was not  
8 very precise. The temperatures got as high as 29 degrees  
9 centigrade. They were monitoring the concentrations with  
10 something called a interferometer. And maybe you can help  
11 us out here, Cort. I scanned the internet, and I could  
12 not find out. Near as I can tell, it's a refractive index  
13 detector. But I'm just clueless, okay? And I went back  
14 and tried to figure out what that was.

15           They did do some calibrations to take samples,  
16 but they essentially said that they evacuated a flask and  
17 got about a liter of air in that flask, and then did a --  
18 essentially a titration to determine how much  
19 butoxyethanol was in those samples. They sampled four  
20 times during these exposures, but never gave any  
21 indication of what variability in their numbers were.  
22 They never said anything about standard curves.

23           I mean, again, standards were different at that  
24 point in time, but these kind of aren't even close. I  
25 remember as a graduate student, it was back in '56, Paul.

1 We always ran standard curves for the things that we did.  
2 None of that in any of the write-up.

3           The methods may have been fine. The inhalation  
4 exposures may have been fine. One of the concerns that I  
5 had, they exposed some of their individuals to 195 parts  
6 per million, and they had one individual that gave them  
7 10-fold less butoxyacetic acid. Now, maybe it was bad  
8 assay for the butoxyacetic acid. Maybe that was all going  
9 to the glutamine conjugate. But it's clear that there may  
10 have been some substantial issues associated with those  
11 exposures, and with the levels that they were recording  
12 for the exposures.

13           I'm not advocating that we move away from these  
14 studies as the benchmark point of departure, but I  
15 certainly think the document has to point out the  
16 weaknesses of this study, and it doesn't do that. And it  
17 really, really needs to be done.

18           DR. YANG: Yeah, I agree. Yeah, yeah, because  
19 that is where all the, you know, the publication. And we  
20 also try to find most recent and better design -- you  
21 know, better the publication and the key study.  
22 Unfortunately, later they only have one dose exposure for  
23 the toxicokinetic study. That's, you know, just cannot  
24 use it as, you know, the key study because they cannot  
25 compare each other. You know, that's where risk if we

1 only use -- that only one dose. No matter you're using  
2 one dose, it adds, you know, the NOEL or LOEL, because  
3 they -- when they use human study, they put human into  
4 chamber exposure to the -- you know, the new harmful  
5 Chemical. They cannot use it. You know, a lot of the  
6 human volunteer are some only in three or four people.  
7 You know, the one dose you just cannot risk.

8           Yeah, I agree. Yeah. We may need to, you know,  
9 update the document, you know, point to start the  
10 weakness. Yeah, that's great. Yeah.

11           PANEL MEMBER BUCKPITT: The question I have, if  
12 you started with the 20 part per million exposures, of --  
13 what is it Jonathan -- Johanson, and did the calculations  
14 from there use that as no observable adverse effect level?  
15 What sort of acute REL would you come out with, if you  
16 started with that?

17           I know all of the -- I mean, you did a very good  
18 job answering the ACC and pointing out where you were  
19 essentially bound to the carpenter studies. But what  
20 would happen if you started with those 20 parts per  
21 million exposures and you said, okay, this is a no  
22 observable adverse effect level, what would your acute REL  
23 look like? Because you can trust those data.

24           DR. YANG: I think maybe we use the 98 ppm as the  
25 LOAEL. And that is about 100. If you count 100. And

1 this use 20 as the NOAEL. So that will remove the LOEL  
2 and also uncertainty factor of 10. I think we got the big  
3 number for that.

4 PANEL MEMBER BUCKPITT: Okay. I think it might  
5 be worth a discussion in your document. Again, this is  
6 going to be certainly a concern. It was a concern of ACC,  
7 but I think probably the Committee needs to think about  
8 these things. The carpenter study was probably so poorly  
9 done that I just have no faith that what they have in  
10 there is -- and I may be completely off base. But when  
11 somebody uses a chemical off the shelf that was produced  
12 in that era, it's likely that it was not very pure. The  
13 standards have gone way up. There may have been  
14 formaldehyde, acrolein. I mean, you don't know what was  
15 in that bottle.

16 Okay. So the chronic RELs. Again, we've had --  
17 we've already had a good discussion. I think there's no  
18 question that you base those -- the fact that the human is  
19 not sensitive to the hemolysis, unless you have heroic  
20 doses. People have tried to commit suicide with ethylene  
21 glycol containing products. I think that all makes sense.  
22 It's based quite well, so I don't have any problem with  
23 that.

24 I'm going to go down through some of the other  
25 parts of the document and have some additional -- I'll

1 give you the paperwork when I finish.

2 DR. YANG: Okay. That's great. Okay.

3 PANEL MEMBER BUCKPITT: Put I found the document  
4 itself not all that well organized up front. So it would  
5 be nice to have some tables separating out the studies  
6 done with animals, and what they found -- how many, what  
7 they found, what they -- so that we're able to parse that  
8 out in the writing. We don't have to try to sort through  
9 all of the writing.

10 So if you can say, all right, these are the acute  
11 studies with animals, these are the chronic studies with  
12 animals, these are the human exposures that are  
13 controlled, these are the studies where humans took large  
14 amounts of this as acute poisonings, that would help, I  
15 think, put the document in a readable format.

16 The other thing that I didn't find in the  
17 document was available levels on butoxyethanol following  
18 if I clean my kitchen floor with a cleaner, okay. And  
19 those data are out there. In fact, I think, what was his  
20 name, Nazaroff -- he was on this Committee earlier -- has  
21 published several papers on that. I think it would be  
22 important to know what sort of air concentrations we get  
23 when we're using some of these cleaners. So I think  
24 adding more of that to the document could be really  
25 helpful.

1 DR. YANG: Yeah.

2 PANEL MEMBER BUCKPITT: The toxicokinetic parts.  
3 Again, this goes back to being evaluative, being critical.  
4 If you look at those initial studies by Johanson and  
5 Boman, 1991, it's clear that Dick Corley in his PBPK  
6 modeling and his evaluations have shown that the uptake  
7 in -- by dermal is much less than by inhalation.

8 They used finger pick analysis to do the blood  
9 levels and Johanson study. And Corley quite clearly  
10 showed that that was -- that led to values that were way  
11 out of whack. And it's not just one person saying this  
12 person is wrong. It was a very well studied phenomenon.  
13 I think for you, you need to put Corley's work in front  
14 and say, all right, this is what we know about if somebody  
15 is exposed by dermal and by inhalation, that inhalation is  
16 really a more important route of exposure, and then come  
17 back and say, by the way, there are other studies that  
18 suggest that that's not the case, but they were flawed by  
19 the fact that they took blood samples by essentially  
20 needle prick. Okay. They're finger tip samples.

21 So it's important again to indicate that the  
22 inhalation exposures are more important, I think, than the  
23 dermal exposures. And there's lots of literature to  
24 suggest that.

25 The metabolism and elimination. Again, this part

1 of the document seemed pretty disjointed to me. Maybe, I  
2 just can't read anymore, that you discussed species  
3 differences, age differences, differences in metabolite  
4 patterns, but there's no consistency. So up front make us  
5 a table, okay? Say, you know, the human doesn't really  
6 excrete much of this as the glucuronide or sulfate.  
7 That's an animal issue. We end up making glutamine  
8 conjugates of this.

9           So we have a good sense of how the animals differ  
10 from how the humans work with this. And, you know,  
11 butoxyacetic acid has been used as a biomarker of  
12 exposure. But if that's already metabolized to the  
13 glutamine conjugate, unless there are ways that they use  
14 to split, to essentially hydrolyze that, they're going to  
15 get misinformation from those.

16           And I know the European Union uses butoxyacetic  
17 acid as a biomarker, but they recognize that it's probably  
18 not ideal. So again, some evaluative comments there would  
19 be very helpful. I think it would make your document much  
20 better.

21           Let's see. Yeah, there were just some areas. So  
22 this is -- this is quite specific, but you have oral  
23 studies suggested that human stomach tissues would be less  
24 capable of accumulating and localizing butoxyacetic acid  
25 than rat stomach tissues. That's stuck right in the

1 middle of another paragraph that has nothing to do with  
2 that.

3           Try to sort out, you know, for each section, what  
4 points you want to make and go through that process, okay,  
5 so that things are clear where it's coming from and where  
6 it needs to go.

7           Again, separating the studies in animals and  
8 humans, I think would really help clarify the document --  
9 the differences in metabolize, I've already said something  
10 about.

11           Let's see. Because you have a sentence in there  
12 that says, "Because butoxyacetic acid is excreted in the  
13 urine in both rats and humans following ethylene glycol to  
14 butoxyethanol exposure, it has been suggested that the  
15 production of BAA through the formation of BAL by ADH is  
16 applicable in both rats and humans".

17           I didn't even understand what that was saying.  
18 The any species with alcohol and aldehyde dehydrogenase is  
19 likely to take this through the aldehyde and into the  
20 acid. So it's sort of a throw-away sentence.

21           And it says, "Lesser amounts of the glucuronide  
22 and sulfate conjugates of EGBE is -- really should be BAA,  
23 right, which is the conjugating species.

24           DR. YANG: Yeah.

25           PANEL MEMBER BUCKPITT: I don't understand, and

1 I've done some kinetics in my years, what an elimination  
2 half-life in urine is. Can you explain that to me? I get  
3 an elimination half-life in blood, but --

4 DR. YANG: Blood is about 14 minutes in human  
5 occupational exposure. And BAA elimination in half-life  
6 is about six hours, but in the occupation exposure  
7 scenario is a longer, like between 6 to 12 hours.

8 PANEL MEMBER BUCKPITT: But I guess the question  
9 that I have is how do you do a half-life in urine?

10 DR. YANG: We didn't do. We just review the  
11 publication.

12 PANEL MEMBER BUCKPITT: I know, but --

13 PANEL MEMBER BLANC: How does one do?

14 PANEL MEMBER BUCKPITT: Can somebody -- yes. How  
15 does one do a half-life in urine.

16 DR. YANG: Maybe --

17 PANEL MEMBER BLANC: You would take serial urine  
18 samples and you would see when the amounts clear of the  
19 metabolite. So it would be like --

20 PANEL MEMBER BUCKPITT: With a clearance in the  
21 urine, a half-life?

22 PANEL MEMBER BLANC: Well, let's say I measure --  
23 well, you don't know when the urine was produced, but  
24 you're presuming -- you're using it as a surrogate. So  
25 maybe -- maybe there's a -- maybe clearance is the more

1 correct term, but I think that's what they mean. I'm  
2 assuming that's what they mean.

3 PANEL MEMBER BUCKPITT: It didn't make sense to  
4 me. I mean, I understand half-life in the blood.

5 PANEL MEMBER BLANC: Well, it's also clearance  
6 from the blood, isn't it? I mean, I don't know if -- it's  
7 somewhat semantic the argument.

8 PANEL MEMBER BUCKPITT: Okay. Let me put it to  
9 you this what. In any of the kinetic journals that I've  
10 reviewed for, I've never seen somebody report a urine  
11 half-life. I've seen them report accumulative  
12 metabolites. I'd be very careful of that, I guess.

13 Okay. I'd suggest you'd just use stick  
14 structures for your structural formula, rather than the  
15 CH.

16 Sorry.

17 And then the human case reports, so again,  
18 consider dividing this into accidental inhalation, dermal  
19 exposures, high dose oral, intentional exposures. That  
20 would allow you then to say all right, this is what we  
21 know about controlled exposures, this is what we know  
22 about high dose inhalation oral ex -- I'm sorry, high dose  
23 oral exposures where there's been a poisoning and  
24 intentional use.

25 And the point here is that erthrocyte hemolysis

1 does occur in humans if the dose is heroic. We're just  
2 much less sensitive. And I agree with OEHHA's assessment  
3 that that would not be using that as a point of departure  
4 in animals would not be good to set these standards.

5 DR. YANG: Yeah, I agree. That's why, you know,  
6 for the chronic REL, you know, for the risk assessment,  
7 our REL is protective of humans and the environment. They  
8 have a ways to still use that endpoint, you know.

9 PANEL MEMBER BUCKPITT: Yeah. And then there  
10 were a couple of additional human exposures study that I  
11 found. You can -- I'll give you the references here, that  
12 really should be in there and should be evaluated.

13 Again, a table that lists the approximate doses  
14 along with the toxic endpoints and the references. And  
15 then I think my final comment was with the acute toxicity  
16 of the children. So the document states all 24 children  
17 in an accidental exposure were asymptomatic at the time of  
18 ingestion. But it was actually asymptomatic at the time  
19 of report, and subsequently 24 hours later.

20 Now, I only saw the abstract to that. I couldn't  
21 get the real paper, but that's a distinction that I think  
22 you want to make.

23 DR. YANG: Yeah, we may make that more clear,  
24 because the children -- 24 children, children -- only two  
25 children trigger more than a 15 --

1 PANEL MEMBER BUCKPITT: Right.

2 DR. YANG: Yeah, I mean it literal. And that is  
3 may consider more -- more safe way, you know, take that  
4 children in the hospital, you know, observe her or do  
5 something, you know, 24 hours. And then --

6 PANEL MEMBER BUCKPITT: But I think being clear  
7 that even 24 hours later there was no symptomatology with  
8 these individuals.

9 DR. YANG: Yeah. We need to make it more clear.

10 PANEL MEMBER HAMMOND: Just a quick comment,  
11 since we're on that particular section. That section you  
12 conclude with EGBE at these concentrations appears to have  
13 low acute toxicity by the oral route. But I said to  
14 myself, what do you mean by these concentrations? And, in  
15 fact, what we're talking about, I mean, these are cleaning  
16 materials. We don't really know. We have, you know, a  
17 variety of things that -- that seems like a strong  
18 statement for something we don't know well. And I found  
19 that -- I mean, maybe you mean the concentrations in the  
20 cleaning materials, but I found that a very strange  
21 statement in the midst of that story.

22 DR. YANG: Yeah, I actually, you know --

23 PANEL MEMBER HAMMOND: Too strong.

24 DR. YANG: Yeah. Some people just say, you know,  
25 the next clean agent or something. They didn't say how

1 many the EGBE or was it a percentage in that agent or  
2 something. Yeah, sometimes that suggest --

3 PANEL MEMBER HAMMOND: Oh, I understand the  
4 information isn't strong. It is not good. It's not good  
5 information, but the last statement sounds much stronger  
6 than is supported by the paragraph.

7 DR. YANG: Yeah, we may need to revise that.  
8 Yeah. Yeah, that's a good point. Yeah.

9 PANEL MEMBER BUCKPITT: Dr. Kleinman, that's all  
10 I have.

11 CHAIRPERSON KLEINMAN: Okay. Dr. Hammond has  
12 comments, I believe.

13 PANEL MEMBER HAMMOND: Yes. Thank you. So these  
14 are all, you know, very challenging to deal with. I'm  
15 going to focus most on the Occurrence/Major Uses section,  
16 because I think Dr. Buckpitt said quite a bit about the  
17 health effects.

18 First of all, the usage that is given here is  
19 over 24 years old, you know, and, in fact, I could quickly  
20 find on the internet data that was, you know, 25 percent  
21 higher for 1999. I think, and this may be something kind  
22 of useful, the American Chemical Society publishes every  
23 year production, you know, volumes of major chemicals.  
24 This is a high production chemical, so you should be able  
25 to get much more recent data.

1 DR. YANG: Okay. I will search for that.

2 PANEL MEMBER HAMMOND: So we should be -- you  
3 know, you shouldn't in your paper be presenting 1992 data.  
4 We can get much more, and the usage has been increasing as  
5 far as I quickly could find over time. And as I say,  
6 seven years later, it was 25 percent higher.

7 DR. YANG: We need make extension search.  
8 Actually, I search meta-analysis or some related  
9 literature and also Google seems is not bring all the --  
10 some of the news is. But you mentioned American Chemistry  
11 Council may have valid -- look at that.

12 PANEL MEMBER HAMMOND: Right. This is not like  
13 the peer-reviewed literature, but it's probably the  
14 strongest information you can get and it's probably even  
15 better than the peer reviewed literature that's going to  
16 be older. And so I would suggest you check with them,  
17 because I'm almost certain they'll have that -- those  
18 data.

19 DR. YANG: Definitely. Definitely. I will  
20 check, yeah.

21 PANEL MEMBER HAMMOND: And so a comment kind of  
22 paralleled what we talked about earlier in the earlier  
23 discussion has to do with the hot spot reporting in  
24 California. So the number that was reported in here --  
25 let's see, here it is, that the statewide emissions

1 reported under Air Toxic Hot Spots Programs were 282,760  
2 pounds in 2011. And given what we were saying earlier, is  
3 this the every four years reporting, once again, is that  
4 correct?

5 DR. BUDROE: That is correct.

6 PANEL MEMBER HAMMOND: So actually what -- let  
7 me -- help me to understand this. In 2011, one quarter  
8 roughly of the facilities that might emit this reported  
9 282,000 And say in 2010, there's another number for a  
10 different quarter. So I do think that we need to talk  
11 about reporting things if, in any four year period, if you  
12 were to sub four years, you would actually get all the  
13 facilities in the State reporting. Then a true reporting  
14 of an annual emissions would be a four year sum, is that  
15 correct?

16 DR. BUDROE: Well, we'd have to project across  
17 each of those. For example, year one, you've got a  
18 quarter of your facilities reporting.

19 PANEL MEMBER HAMMOND: Right.

20 DR. BUDROE: Year two, second quarter. So we  
21 could project across a four-year period how much, but it  
22 would -- how much material was being emitted, how much  
23 EGBE, for example, but it would be a projection. It would  
24 be an estimation.

25 PANEL MEMBER HAMMOND: Okay. It would be an

1 estimation, but we have errors. We can think about what  
2 are the size of the errors. So the errors if you were  
3 just to add any four adjacent years, you would be at least  
4 covering all the facilities. What the error would be that  
5 the facility that was four years ago might have changed  
6 its production, increased or decreased its production each  
7 of the -- so I understand that. But when you report only  
8 one year, we know we're grossly underreporting, like on --  
9 if I had to bet my money, we're reporting only about a  
10 quarter of the emissions that are actually happening in  
11 that year.

12           So in 2011, we have 282,000 pounds reported  
13 emitted by one quarter of the emitting -- now, also, they  
14 may not be evenly spread over those four years. But if,  
15 in a four-year period, we get all the facilities  
16 reporting, we're getting a four-year average by adding  
17 those together to know. That would be, if I had to put my  
18 money, what the best estimate of a yearly emission is.

19           DR. BUDROE: That make sense, and that's  
20 something we can look into for sure.

21           PANEL MEMBER HAMMOND: So I would suggest, you  
22 know, if you want to be clear. And I understand that  
23 there is this error because you're doing it in different  
24 years, you can say, for instance, and you could pick the  
25 best way to do this from between 2008 and 2012, you know,

1 the -- it appears that the average annual emissions were,  
2 and that would be your -- you know, your assumptions are  
3 going to be a constant emission per facility, but it's a  
4 lot better than leaving three-quarters of the facilities  
5 out, as far as the size of the error.

6 DR. BUDROE: Right.

7 PANEL MEMBER HAMMOND: Okay. So my suggestion --  
8 and you know your data better. You may come up with a  
9 much better way to do that. But let's not neglect  
10 three-quarters of the facilities.

11 DR. BUDROE: Okay.

12 PANEL MEMBER HAMMOND: And this would apply not  
13 only here, but going forward. I don't think that had  
14 registered from me until today, that quadrennial.

15 DR. BUDROE: Right. Well, actually we picked up  
16 on this a couple years ago. And it's where they  
17 actually -- the reporting requirements are actually, to  
18 the best of my knowledge, set in statute actually, not  
19 even in regulation.

20 PANEL MEMBER HAMMOND: See, you're just quicker  
21 than me, but good. That's great. Yeah, but let's think  
22 about that, you know, and think how -- what is the best  
23 way to characterize it, but I think that picking any one  
24 year clearly has a huge error. We should find a better  
25 way to characterize that. And you could do a rolling four

1 year and see what -- you know, from 2000, the rolling four  
2 year and see what the trend is, so 2000 to 2004 average  
3 2001 to 2005, 2002 to 2006. Just roll it along and you  
4 can that way at least get a sense of trends if you want to  
5 look for that. And I'm sure you'll come up with even  
6 better ways to do this with a little more thought. Okay.

7           Yeah, so I do think there's significantly more  
8 being emitted. I also think that there -- I know there  
9 are more data about outdoor concentrations. And I guess  
10 I'm finding myself now moving into what do we want in  
11 these documents, what's needed. But for instance, if we  
12 want to say what are the levels outdoors, even -- they're  
13 indirectly, for instance -- for instance, Joan Daisey's  
14 study that looked at 12 office buildings and reports the  
15 concentrations inside of the offices, they also collected  
16 outdoor samples and they reported what they saw outdoors.

17           So you can -- we have an outdoor sample there.  
18 So you can look for even things that are looking at indoor  
19 levels may have done a comparison of outdoors to know how  
20 much is penetrating. So there are data kind of hidden  
21 there. Is that clear? You look a little confused.

22           DR. BUDROE: No, that's entirely clear, I guess.  
23 But is a question for the Panel is how much, for example,  
24 exposure assessment information should be in this document  
25 as compared to toxicity information.

1 PANEL MEMBER HAMMOND: Right. I guess --

2 DR. BUDROE: You know, the focus of the document.

3 PANEL MEMBER HAMMOND: -- I always thought there  
4 was supposed to be a sense that there was an exposure  
5 here, and that's part of why I was on the Panel.

6 PANEL MEMBER BUCKPITT: Well, I think there  
7 should be --

8 PANEL MEMBER HAMMOND: I have to justify.

9 PANEL MEMBER BUCKPITT: -- because again, when we  
10 look at these RELs, I think it provides a basis for  
11 saying, geez, we're way over, we're way under. You know,  
12 whatever that is.

13 PANEL MEMBER HAMMOND: We have a problem.

14 PANEL MEMBER BUCKPITT: So I think it really does  
15 provide a platform.

16 PANEL MEMBER HAMMOND: A context -- a context for  
17 the Toxicology. So as an example, if one is doing that,  
18 and I think we may need to think about that outside of  
19 just this document where we want to go with it. And I'm  
20 sure some of -- you know, all the scientists that OEHHA  
21 thoughts on this, we should work it through. But it's not  
22 clear to me whether we want to do indoors or not. I mean,  
23 I understand that part of what this is -- hot spots are  
24 about is outdoor. On the other hand, you do have indoor  
25 data here. And if we have indoor data -- and indoor data,

1 to me, as a -- somebody I feel a responsibility to the  
2 citizens of California, I think letting them know what we  
3 know about where they can be exposed is important, even  
4 though it may not be here. But I'm open to what the  
5 appropriate place is -- roles for us to play are here.

6 But I think the fact that painting with a latex  
7 paint can clearly, in some of this information, lead to  
8 exposures that are above the RELs that you have. This is  
9 a way an individual citizen can be exposed or cleaning  
10 with certain cleaners.

11 So, you know, there are issues about -- so I  
12 think we need to think about that and come to a conclusion  
13 and decide what we want to do with that. And I will defer  
14 eventually to, you know, what ARB wants or CalEPA. But  
15 leaving it for now, assuming that we are interested in  
16 that, I have concerns when we have sentences like the  
17 highest geometric mean EGBE was 81 micrograms found on a  
18 certain day after some of the water-based paints were  
19 used.

20 Well, that -- a geometric mean does -- is not a  
21 good representation of the high concentrations. And it's  
22 not the geometric mean that -- in this case. It might be  
23 important for an epidemiology study. It might be  
24 important to another study, but it's not important if we  
25 want to know are there any Californians overexposed? And

1 does this represent -- does this activity represent  
2 something that can expose Californians to something that  
3 is of risk, given what we're finding for the REL?

4           So I would be willing to guarantee that if the  
5 8-hour REL is 150 and the geometric mean is 81, that if I  
6 had to bet my money, I'd be saying, yeah, there are going  
7 to be people overexposed from that. So those are the --  
8 again, the context there.

9           DR. BUDROE: Okay. Well, that's -- I mean it's  
10 correct that this -- the information we develop in this  
11 document will be informative for indoor air issues, but  
12 it's not directly regulatory for indoor air issues.

13           PANEL MEMBER HAMMOND: So, if it's going to be  
14 there --

15           PANEL MEMBER GLANTZ: Well, this is Stan.

16           PANEL MEMBER HAMMOND: Stand before, let me just  
17 finish. Let me answer and then I'll let you say  
18 something. So if I -- I understand, and I'm not clear  
19 where we're going to go with all of this, but to the  
20 degree -- if it's going to be there, I want it to be  
21 accurate, all right, and to fully represent what it is.

22           If we feel that we don't want to be talking about  
23 indoor air, that's a different thing. But if we're going  
24 to talk about it, we need to talk about it in a way that's  
25 truly informative of what those exposures could be.

1 DR. BUDROE: Correct, and we can -- we can  
2 re-evaluate that information and make it more descriptive.

3 PANEL MEMBER HAMMOND: Right. And maybe  
4 represent more --

5 DR. BUDROE: Address those concerns.

6 PANEL MEMBER HAMMOND: We all know that it is  
7 generally true that concentration -- exposure  
8 concentrations are log normally distributed or something  
9 like that. There's a skewing of the data. But those high  
10 points are not to be ignored. They're actually really  
11 important in the health of Californians. So that's why we  
12 don't want to neglect that.

13 So, okay, Stan.

14 PANEL MEMBER GLANTZ: Well, I just wanted to  
15 comment on the indoor air thing. And while the ARB  
16 doesn't regulate indoor air, they do have responsibility  
17 for informing the public about it. So I think the  
18 representation of the indoor air data being accurate is  
19 important.

20 I mean, we went through this with several things  
21 in the past, secondhand smoke and formaldehyde are two  
22 that instantly jump to mind. So what's in the report  
23 about indoor air needs to be correct. We shouldn't -- and  
24 because there is substantial indoor exposure, I don't  
25 think we should just drop it.

1 DR. BUDROE: Okay. And if you have specific  
2 written comments that you can provide us with, that would  
3 be extremely useful.

4 PANEL MEMBER HAMMOND: Okay. I will try to  
5 prepare those for you.

6 And then there's a comment that Microorganisms or  
7 molds have also been identified as possibly emission  
8 sources. Leaving that just staying like that, we have no  
9 idea whether that's this minuscule 0.03 percent of the REL  
10 or whether it's six times the REL. How does it compare  
11 with paint? How does it compare with cleaning materials?

12 So I think -- and now it maybe that someone just  
13 detected it and that was it, but it can -- something  
14 should be said more about that, so it's not so easy to  
15 dismiss. So I do think that letting people know about  
16 cleaning products, about painting -- at the very least, it  
17 might just be, you know, having windows open or being  
18 careful not to be residing in things -- in rooms.

19 I also found it interesting that it was at day 19  
20 that they get these high levels or, you know, it's not the  
21 day after they painted, which I would have thought. You  
22 know, so it's interesting how some of this is happening,  
23 and what does that mean for people, is kind of there.

24 So -- and I guess the other issue for me is  
25 because this is a hot spot as opposed to some of the other

1 things we've been doing, some of this -- and maybe you can  
2 help me understand this -- some of what happens is we call  
3 this -- we approve this for this thing, there's then a  
4 mandate to ARB to do more sampling, is that correct, that  
5 this becomes the beginning of sampling as opposed to the  
6 ending of sampling?

7 DR. BUDROE: No. This is -- well, the ARB  
8 doesn't sample for these emissions. What happens is -- I  
9 was about to carbonyl sulfide. EGBE is on the list of  
10 chemicals that must be quantified. So facilities have to  
11 report --

12 PANEL MEMBER HAMMOND: Their emissions.

13 DR. BUDROE: -- their emissions to the air  
14 district, and then the air district reports that back to  
15 ARB. So what this really means is this will now -- EGBE  
16 will go into a hot spots risk assessment, so to look at  
17 cancer risk, if the chemical is a carcinogen or non-cancer  
18 health effect risk. And there's hazard indices that --  
19 cumulative hazard indices that get essentially put  
20 together by effect class.

21 So like neuro-CNS effects, for example,  
22 respiratory effects. So once these RELs are adopted,  
23 they'll actually be able to be used to generate a  
24 quantitative estimate of risk from this chemical at each  
25 of those facilities and emitted.

1           PANEL MEMBER HAMMOND: You just said something  
2 really important to me, in terms of how this -- I think it  
3 is helpful to me to understand how this document gets  
4 used, what the consequence of it is. So among other  
5 things, you're saying it's the cumulative effects. So if  
6 there are three different neurotoxins that are -- that  
7 have been identified that one is going to try to actually  
8 look at that cumulative effect on the brain, is that  
9 correct?

10           DR. BUDROE: Yeah, it's -- and probably a better  
11 word to use would have been combined effect.

12           PANEL MEMBER HAMMOND: I'm sorry?

13           DR. BUDROE: Probably a more accurate word would  
14 have been to say combined effect rather than cumulative.

15           PANEL MEMBER HAMMOND: Okay. Oh, right. Okay.  
16 Yes. Yeah, I agree.

17           PANEL MEMBER RITZ: I think I don't understand  
18 how would that be done? Because each toxin has different  
19 toxicities and, you know, how would you combine that? I  
20 mean, micrograms and ppm of one agent is not the same as  
21 for another.

22           DR. BUDROE: Well, what one is being generated is  
23 a hazard index for each chemical, so it's the amount in  
24 the emissions inventory divided by the REL. So you --

25           DR. MARTY: Concentration in the air. So

1 there's -- this is Melanie. There's risk assessment  
2 guidelines that have actually gone through this Panel and  
3 been adopted for use in the hot spots. And the way it  
4 works is the hot spots facilities are required to report  
5 emissions of a set of compounds. If there is a reference  
6 exposure level then, those facilities that are required to  
7 do risk assessments have to do air dispersion modeling to  
8 come up with concentrations in the communities nearby.  
9 Those concentrations are compared to the reference  
10 exposure level.

11           So John was referring to the hazard index  
12 approach. So what we do, because we don't know lots about  
13 the mechanisms of toxicity, we do it by target organ. So  
14 the respiratory system is considered a target organ. So  
15 if you have multiple respiratory toxicants coming from a  
16 specific facility, they would do these ratios of the model  
17 concentration to the reference exposure level. And those  
18 ratios get added. So it's a way of trying to account for  
19 multiple chemical exposures and impacts on a single target  
20 organ. And it's -- you know, it's a relatively cruder  
21 estimate, but that's how it works.

22           PANEL MEMBER HAMMOND: Okay. And moving on to a  
23 slightly different topic. On page 25, the statement is  
24 made, "Epidemiological studies suggest cleaning products,  
25 including those products that utilize EGBE, increase the

1 likelihood of an asthmatic episode in susceptible  
2 individuals". That needs a reference that statement. And  
3 I think that that's an important finding, you know, that  
4 we need to understand a little more about that, maybe  
5 understanding what are the concentrations that people are  
6 exposed to during cleaning, whatever. I don't know the  
7 study, what that study is, what the study -- you know,  
8 whether that was just a -- without any effort to look at  
9 what the exposures were then.

10           But certainly asthmatic episodes are very  
11 important to health outcomes. And this is looking in  
12 humans. And so -- and I do know later that you say  
13 there's currently sufficient evidence to consider EGBE a  
14 chemical for which children are more sensitive compared to  
15 the general population. You know, so -- and, you know,  
16 further studies need to be done you say a couple more  
17 times, which I -- it's good to say that, but we do kind of  
18 think that children are more susceptible to asthmatic  
19 attacks.

20           And so again, I would probably, you know, turn  
21 to, you know, one of the respiratory people to get more  
22 information on that. But I think -- let's think that  
23 through, you know, what do we know about asthma, what do  
24 we want to say. And I don't know what the study is  
25 without the reference to be able to look at it to say what

1 else can we deduce from that study.

2 DR. MARTY: So we do need to reference these  
3 things. I'm just going to get up to talk about the asthma  
4 issue. So in our guidance, actually, let's go back to  
5 2001, we had to prioritize the toxic air contaminants, so  
6 we had criteria for prioritizing to determine in a  
7 separate function those toxic air contaminants that may  
8 disproportionately impact children. And it's a "may" not  
9 a "will".

10 And asthma came up as a disease that, in and of  
11 itself, disproportionately impacts children, higher  
12 prevalence lets, higher hospital admissions, smaller  
13 airways so they get in trouble easier than a larger  
14 airway.

15 PANEL MEMBER HAMMOND: Yes, I remember this  
16 actually.

17 DR. MARTY: Okay. Great.

18 PANEL MEMBER HAMMOND: Right, I'm right there  
19 with you.

20 DR. MARTY: Okay. So that --

21 PANEL MEMBER HAMMOND: That's why I've been  
22 studying asthma for a long time in children.

23 DR. MARTY: And when we're looking at our  
24 reference exposure levels, this is one way, as we go  
25 through the chemicals, that we add to that list of toxic

1 air contaminants that may disproportionately impact kids.  
2 If something we think is either an asthmagen or has the  
3 potential to exacerbate asthma, then we consider, okay,  
4 maybe that should go on that list. So that's where that  
5 comes from.

6 PANEL MEMBER HAMMOND: And I totally agree with  
7 all of that logic. That makes really good sense to me.  
8 But therefore, I want to see more -- make that stronger,  
9 make it a -- you know, it's just a line -- it's a sentence  
10 there and then it gets repeated later, but not -- let's  
11 get a little more meat onto that, a little more  
12 information about what that's about. And I didn't have  
13 the reference to look it up.

14 So thank you. And I'll try to get you some  
15 written comments. Do you have any questions for me on  
16 that?

17 CHAIRPERSON KLEINMAN: Okay. I'd like to open it  
18 up to the rest of the Panel for any comments that they may  
19 have.

20 Stan, anything from you?

21 No.

22 Okay. All right. Let me ask this, I'd like to  
23 talk about the response to comments from ACC. And you  
24 both have had a chance to review the agency's response to  
25 those comments. What I'm looking to do is if it's not

1 necessary to go through them point by point, if you've  
2 already reviewed those responses and the rest of us have  
3 had a chance to look them over too, if we don't have any  
4 glaring disagreement with the agency's response, we might  
5 be able to just do that more rapidly.

6 PANEL MEMBER BUCKPITT: Dr. Kleinman, that makes  
7 sense to me. I did look over the ACC comments. They were  
8 also concerned about the carpenter studies. But again,  
9 this is an issue that I think the entire Committee needs  
10 to take a look at. There may be no options for that.  
11 Other than that, I thought that the responses from OEHHA  
12 to those comments were absolutely right on the money.

13 CHAIRPERSON KLEINMAN: Thank you.  
14 Kathy.

15 PANEL MEMBER HAMMOND: I looked them over. I  
16 didn't look at them that critically. But from what I  
17 read, they look fine.

18 PANEL MEMBER BLANC: But just to clarify, there  
19 was -- the most substantive change that was made in  
20 response to these comments was a change in one of the  
21 calculations of the -- one of the RELs, isn't that  
22 correct?

23 DR. BUDROE: That is correct.

24 PANEL MEMBER BLANC: So since that's the most  
25 substantive thing, I mean, there were here and there minor

1 text changes. Although, I would characterize most of the  
2 responses as an explanation or justification or  
3 amplification of what you had done, but there was no  
4 action item that flowed out of most of those responses.  
5 So could you just walk us through the change that you made  
6 and the rationale, since that's the most substantive, the  
7 change to the REL?

8 DR. BUDROE: Okay. Well, the change in the acute  
9 REL --

10 CHAIRPERSON KLEINMAN: So I believe this is the  
11 ACC comment number 13 or the responses to that.

12 DR. DODGE: So, Dr. Blanc, we are referring here  
13 to -- I'm -- this is Daryn Dodge who assisted in some of  
14 these response to comments.

15 We're referring to slide number 25, if we want to  
16 take a look at that. Okay. So the comment here was, "The  
17 acute REL for EGBE should be five parts per million, based  
18 on the 50 parts per million no observed effect  
19 concentration from Jones et al...", which was a  
20 physiological study. I'm sorry, a pharmacokinetic  
21 study -- "...and then apply a cumulative intraspecies  
22 uncertainty factor of 10, 10 for the pharmacodynamic  
23 portion and one for the pharmacokinetic portion", instead  
24 of the 30 that we had originally intended to use.

25 Our response is that OEHHA still believes that

1 the LOAEL of 98 parts per million by Carpenter is probably  
2 the most appropriate point of departure for the REL.  
3 However, we do go on to say that OEHHA concurs that the  
4 total intraspecies uncertainty factor be reduced from 30  
5 to 10, following the same guidance here that the commenter  
6 had discussed. The toxicodynamic uncertainty factor  
7 portion would be a 10, and the toxicokinetic would be  
8 going from a 3 to the 1.

9           Now, per our guidelines, when we're dealing with  
10 direct-acting sensory irritants, which is what EGBE  
11 appears to be doing here, we go to a uncertainty factor of  
12 1 for the toxicokinetic portion, because these kind of  
13 direct-acting sensory irritants, there really isn't much  
14 variability in the population for -- the original reason  
15 why we had it a 3 is the concern that there could be some  
16 sort of systemic issues going on, for example, Carpenter  
17 et al. saw headache, perhaps nausea. Although, that was  
18 probably due to the high temperature in the chamber. And,  
19 you know, the concern with metabolism going to  
20 butoxyacetic acid, which is a legitimate concern in  
21 rodents, but it doesn't appear in the acute REL at these  
22 levels really to be a concern at all.

23           So we concurred here that we should probably --  
24 we should go to 3 to 1 for this uncertainty factor.

25           PANEL MEMBER BLANC: Yeah. I mean, I thought it

1 was a reasonable response. I just wanted to make sure  
2 that everybody caught that, because that was the major  
3 change. Unless I missed something, would you also  
4 characterize that as the major change in response to the  
5 critique?

6 DR. DODGE: Yes.

7 DR. BUDROE: Yeah, that would be the major  
8 document change.

9 PANEL MEMBER BLANC: And because you're talking  
10 about toxicokinetics and -- so this is not -- there's no  
11 metabolism issue, because it's the parent compound. It's  
12 directly doing what it's doing.

13 DR. DODGE: Yes, that's what we believe, yes.

14 PANEL MEMBER BLANC: Then the toxicodynamic  
15 uncertainty factor relates to potential within human  
16 variability in the response, absent the change in  
17 metabolism, because there is not a metabolic issue. So is  
18 that correct?

19 DR. BUDROE: That would be correct.

20 PANEL MEMBER BLANC: Some for example, there  
21 might be some humans who have an epithelium conjunctival  
22 response, that even with the same amount of chemical might  
23 be more intense. I mean, that's the variability we're  
24 talking about essentially.

25 DR. BUDROE: Yes.

1           PANEL MEMBER BLANC: I just wanted to be clear.  
2 I mean, I think that's reasonable. You know, I don't know  
3 if this touches on the response or the parent document,  
4 but the issue of apoptosis.

5           DR. BUDROE: For the chronic endpoint?

6           PANEL MEMBER BLANC: Right, right. So the  
7 apoptosis that was described was changes down the pathway  
8 towards apoptosis or it was actual apoptosis, because  
9 apoptosis is -- it's a term everybody loves, but it's like  
10 on the way to sell death, right?

11          DR. SILVA: My name is Rona Silva. I guess I'm  
12 not quite sure what you're asking?

13          PANEL MEMBER BLANC: Well, I'm asking the  
14 biological -- the key biological phenomenon that was  
15 driving the chronic REL was connected to the issue of  
16 apoptosis. So, I mean, Jesús, probably -- you could  
17 probably ask this question better than I could.

18          I mean, there's apoptosis and then there's cell  
19 death. And it's part of -- it's on the way -- it's  
20 program cell death, right, we're talking about, not --

21          PANEL MEMBER RITZ: Not necrosis.

22          PANEL MEMBER BLANC: No, it's before the cell is  
23 dead, but it's committed to dying, right?

24          So, I guess, I'm asking whether or not the  
25 endpoint that was seen was truly apoptosis or upstream

1 effects from apoptosis. There wasn't -- the response --  
2 you know, the way the wording was I wasn't completely  
3 clear, and I think that -- it would be -- it probably  
4 matters. I don't know.

5 DR. BUDROE: I think it's one of the things where  
6 it's an upstream effect and you wouldn't necessarily have  
7 to go to apoptosis. But what you're saying is essentially  
8 a rough ER disruption, which kind of makes sense because  
9 what you're talking about is a detergent. And so you're  
10 disrupting the -- you know, the structure of the rough ER.  
11 And, you know, you can wind up downstream with apoptosis.

12 But, you know, basically the argument that we  
13 were making was is that this is not just an adaptive  
14 response. It truly is a deleterious response.

15 PANEL MEMBER BLANC: But not to belabor the  
16 point, but isn't there an issue of reversibility or  
17 irreversibility. That is to say once you really are  
18 committed, the whole issue of apoptosis is it's not a  
19 reversible process particularly, is it, or am I  
20 overreading the terminology?

21 DR. SILVA: So with the NTP study specifically,  
22 all they did in order to characterize the histopathology  
23 was to stain -- it's a basically stain H&E, hematoxylin  
24 and eosin. And that doesn't necessarily show you cells  
25 that are dying of apoptosis. There was no further

1 characterization of the eosinophilic globules aside from  
2 that in their study.

3           What I found while I was doing literature reviews  
4 is that eosinophilic globules will form kind of along with  
5 the process -- they go along with the process of  
6 apoptosis. So you could potentially have them earlier on  
7 whether the ER is stressed and the cell is trying to  
8 survive, and it's doing sort of and autophagy sort of  
9 response.

10           But that can, you know, also go forward and lead  
11 to apoptotic events. A lot of the research that I found  
12 that are -- that's cited in the paper, they noted usually  
13 in the presence -- whenever they had these eosinophilic  
14 globules, they would note nuclear fragments -- pyknotic  
15 nuclear fragments within the globules. The globules  
16 stained for plasma proteins. And so the -- and these  
17 globules were not necessarily found in normal tissues  
18 or -- if they were looking at the prostate, they weren't  
19 necessarily found in males younger than 50.

20           But -- so it's difficult for me to say that this  
21 is -- this is something that you'd only see in an  
22 apoptotic event, but it definitely will occur along the  
23 pathway to apoptosis. And it definitely can appear at the  
24 beginning stages when the ER is stressed out or when the  
25 cell is stressed.

1           PANEL MEMBER BLANC: Right. So my -- I don't  
2 disagree with you. I think what I'm trying to say is that  
3 there's a difference between saying that the eosinophilic  
4 droplets are a marker for increased likelihood of  
5 apoptosis. There's a difference between me saying that,  
6 and therefore its's not just a trivial finding versus  
7 saying that eosinophilic droplets are a marker -- are, in  
8 your words in the response, is an adverse effect  
9 indicative of cellular apoptosis.

10           I mean, I read that statement as saying this is  
11 the step -- an irreversible step down the pathway to  
12 apoptosis. This cannot be a reversible pathological  
13 injury, because apoptosis is not reversible. So I wasn't  
14 sure -- and I my note to myself was indicative of later  
15 incipience, increased risk? I mean, I don't know what you  
16 mean.

17           I'm not saying that it is a trivial indicator or  
18 that it's a non-pathological change, but the use of the  
19 language as it's used in this response, and therefore  
20 perhaps in the document itself, perhaps presents  
21 vulnerability if it's overstating what you really mean,  
22 without taking away from using it as an endpoint for  
23 toxicity or a not nice biological event.

24           DR. BUDROE: All right. So if I'm getting this,  
25 you're suggesting that we modify the language to state

1 that this -- that eosinophilic globules are a step in the  
2 progression that could result in apoptosis, for example,  
3 but is not definitely going to.

4 PANEL MEMBER BLANC: It's a marker for increased  
5 likelihood of apoptosis maybe or there are a lot of ways  
6 you can say it, if that's -- if the -- if I understand the  
7 biology correctly, and maybe I don't. But if that's what  
8 the data are -- because you're argument is appropriately  
9 that eosinophilic droplets are not just a benign thing and  
10 are not necessarily -- and are not a guaranteed reversible  
11 phenomenon that's temporary.

12 But you're not saying that once you see them,  
13 you're on the pathway -- the irrevocable pathway of  
14 apoptosis is not what you mean either, right?

15 DR. SILVA: Not always, yeah.

16 PANEL MEMBER BLANC: Well, most of the time, some  
17 of the time, right? I mean --

18 PANEL MEMBER RITZ: Actually, I would have a  
19 different question. It's not really clear to me what this  
20 epithelial hyaline degeneration really is as an outcome,  
21 because epithelial cells are dying all the time, and are  
22 being replaced all the time. But is this like a  
23 structural change, like a fibrosis? So maybe that is  
24 something you might want to add.

25 DR. SILVA: Okay.

1 DR. BUDROE: A better description of the  
2 pathology?

3 PANEL MEMBER RITZ: Yeah, yeah.

4 DR. BUDROE: Okay.

5 PANEL MEMBER ARAUJO: So maybe you can call it  
6 like a marker of stress or a marker of injury that could  
7 lead into apoptosis. It would be a way of rephrasing the  
8 description.

9 PANEL MEMBER GLANTZ: So it's a little hard to  
10 react when you're not in the room, but is that part of the  
11 discussion finished, because I had a couple of questions  
12 about the response to comments, but I'm happy to wait till  
13 an appropriate time.

14 CHAIRPERSON KLEINMAN: Well, why don't you go  
15 ahead, Stan, and --

16 PANEL MEMBER GLANTZ: Okay. Well, there were --  
17 I agreed with the others who said generally I thought the  
18 agency did a quite good job of responding. And in -- and  
19 I was pleased to see that there were some changes made to  
20 the document in response to the comments, so -- but there  
21 were just a couple things.

22 One of them looking at the public comment  
23 document with the responses, it's comment number 5.  
24 "Hematotoxicity is more generally recognized and accepted as  
25 the critical adverse effect". And I didn't really find --

1 I mean, the answer that you gave starting at line 161  
2 seemed a little bit circular to me. So I was just  
3 wondering if you could kind of talk me through your  
4 response to that comment. I think you have the slide --  
5 the slides are not numbered the same, but it starts on  
6 line 155 -- 153 of the document, or if you want I can read  
7 it to you.

8 PANEL MEMBER BLANC: While they're looking, Stan,  
9 I just want to say from my point of view, I actually  
10 thought that was pretty lucid response. I'll tell you  
11 what I understood from it. It's that hemolysis is not a  
12 relevant -- the blood -- the blood cells are not a target  
13 organ of toxicity in humans --

14 PANEL MEMBER GLANTZ: Right.

15 PANEL MEMBER BLANC: -- because humans are  
16 resistant to hemolysis in both children and adults. And  
17 therefore, because Kupffer cell hemosiderin is a  
18 reflection of hemolysis one wouldn't use that as animal  
19 endpoint to model risk for humans, because that's not a  
20 target organ that's relevant for humans.

21 PANEL MEMBER GLANTZ: Okay.

22 PANEL MEMBER BLANC: And that's what I understood  
23 from what they were saying. And I didn't -- actually, I  
24 thought it was pretty clear. So I'm not sure what in  
25 their response wasn't clear.

1           PANEL MEMBER GLANTZ: Okay. Well, I think what  
2 you just said is much -- I understand now.

3           DR. BUDROE: Right. And we have a more detailed  
4 response to that essentially in response to comment -- the  
5 ACC comment number 44. So we could move --

6           PANEL MEMBER GLANTZ: No, no, no. You don't need  
7 to waste your time on that, but I just -- you know, the --  
8 because when you got -- when you talk to it, it's later in  
9 the document. It made sense. I just didn't make the  
10 connection. So you don't need to bother.

11           And then the other thing I just thought would be  
12 I just wanted to ask about is this is comment 42. And if  
13 you look at line 119 -- or a pardon me 1119. And I  
14 just -- I'd just like you to talk where they had -- you  
15 said that there was response in 3 out of 49 female rats.  
16 And then you went on and said, so that -- that you said  
17 well, based on that, they may be a more sensitive  
18 subgroup.

19           And 3 out of 49 is -- you know, that's like 8  
20 percent or 6 percent. And I was just wondering if you  
21 could just say a little bit more to justify your decision  
22 there?

23           DR. SILVA: So, I agree with what you're saying  
24 is that it could be associated with just chance. And what  
25 we have done is we've contacted NTP to get their raw

1 severity data. That was actually -- the number 3 out of  
2 49 was actually something that was stated in the ACC  
3 comments to us initially. And that was not something that  
4 I found -- a number that I found in the NTP report or any  
5 additional government reports from the EU or EPA.

6 And so we're looking more into that, but I do  
7 agree that that is a low number, but we also do know that,  
8 at least from some of the studies that female rats tend to  
9 be more susceptible to EGBE effects than the male. So I  
10 mean, it is -- Because we present most of our comments  
11 with respect to the eosinophilic globule endpoint, you  
12 know, I'm okay with removing that from the document.

13 PANEL MEMBER GLANTZ: Yeah. Well, I think -- I  
14 mean, I just -- I always read the response to comments  
15 before I read the document, so I didn't realize that  
16 number wasn't in the document. But I think if you're  
17 going ahead to try to just clarify this, I mean, I think  
18 that's the appropriate response for now.

19 DR. BUDROE: Okay. And we are going to try to  
20 get that -- actually get that severity data from NTP.

21 PANEL MEMBER GLANTZ: Yeah, I think, if you  
22 could, that would be great. And then I just had one minor  
23 editorial almost comment just on the next page. And this  
24 was language in the report. At line 1133 where you talk  
25 about new information undocumented in reviews.

1 DR. SILVA: Right.

2 PANEL MEMBER GLANTZ: And I found that kind of  
3 odd terminology. And I think what you really mean  
4 something like not highlighted in the reviews or not  
5 stressed in the reviews or not discussed.

6 DR. SILVA: Not stated at all.

7 PANEL MEMBER GLANTZ: Yeah, so I would just --  
8 because I didn't -- I got a little confused by what  
9 undocumented meant.

10 DR. SILVA: Okay.

11 PANEL MEMBER GLANTZ: And that is the language in  
12 the report. But otherwise, I thought you did a very nice  
13 job of responding. So that's all I have to say.

14 DR. SILVA: Thank you.

15 PANEL MEMBER BLANC: So if you really do combine  
16 the male and female rats, doesn't the issue of female  
17 susceptibility fall out, or am I off track there?

18 DR. BUDROE: Well, yeah, for the hyaline  
19 degeneration, that -- I guess it would depend on getting  
20 that severity data, if we can find that, because it might  
21 mean that -- we might be able to say that female rats do  
22 have increased severity compared to males, in which case,  
23 you might not want to combine the two groups.

24 PANEL MEMBER HAMMOND: I think that that depends  
25 on which outcome you're looking at. I think for some

1 outcomes that's not so true, and for some, it might be  
2 true. And I'm trying to find it right now, but I thought  
3 I had seen some that was the reverse. That there were  
4 some outcomes where the males were more susceptible. So I  
5 want to be careful not to just characterize it as the  
6 females are more susceptible.

7 DR. BUDROE: Right. Well, I'm hypothesizing at  
8 this point, we got -- we have to get the data before we  
9 can really make a statement in that regard.

10 PANEL MEMBER HAMMOND: Yeah, I would say look at  
11 that, and don't just take that as a statement carefully.  
12 Thank you.

13 PANEL MEMBER BLANC: So in terms of my read of  
14 the critique -- well, first of all, you guys have a lot of  
15 energy, because your response was longer than the original  
16 document in words, wasn't it?

17 (Laughter.)

18 PANEL MEMBER BLANC: I think or very close in  
19 length. Starting on line 589 of the response, "Finally,  
20 Kane et al. presents the RD50 concentration-response  
21 relationship for EGBE in a figure. At the lowest dose  
22 examined, the data show there is a 20 percent reduction in  
23 respiratory rate at about 140 ppm in the mice. This  
24 finding suggests sensory irritation is present in the  
25 exposed mice at this concentration".

1           That -- you know, A equals B was not intuitive  
2 absent some parenthetical, because respiratory rate is a  
3 well known gauge of respiratory irritation or something.  
4 I mean, there are many, many ways to get to an increased  
5 respiratory. So is that an established marker of sensory  
6 irritation in mice. That's a model. Then say it  
7 parenthetically.

8           You don't have to change your text of your  
9 response. But just as a reader, you know, it may come  
10 down to something you know so well that you don't think to  
11 say it explicitly.

12           DR. DODGE: Are you familiar with the RD50  
13 studies that are -- have been done by Alarie's group?  
14 Over a number of years, they built up a huge database in  
15 mice looking at irritant gases, in particular in how they  
16 would cause a reduction in the respiratory rate in mice  
17 due to the irritation and excitation of the trigeminal  
18 nerves. And it's a form of a way to get the mice to  
19 reduce their exposure to irritant gases.

20           PANEL MEMBER BLANC: And I would just -- don't  
21 rewrite this, but it would have been more -- it would have  
22 been clearer with little parentheses, a well established  
23 marker of, you know --

24           DR. DODGE: Okay.

25           PANEL MEMBER BLANC: And then the paragraph just

1 before that, when you say something like the proposed REL  
2 of EGBE at 0.33 parts per million appears to be reasonably  
3 close, saying reasonably close to this other estimate, I  
4 mean, in general, what is reasonable mean, half an order  
5 of magnitude, an order of magnitude, three orders of  
6 magnitude? I mean, in general, try to avoid those words,  
7 unless you then say what you actually mean in some kind of  
8 quantifiable way.

9 DR. DODGE: Right. Okay. I understand. Okay.  
10 It's like, I think there was one other place where you  
11 guys said significant, and it actually wasn't clear  
12 whether you meant biologically significant, statistically  
13 significant, you know, so just -- it's just a word of  
14 cautionary thing. I'm not telling you to rewrite it or  
15 doing anything, but just, you know, be careful.

16 PANEL MEMBER RITZ: Yeah. You're also asking for  
17 a statistic of two -- when they compare two people. I  
18 wouldn't ask for that, a statistical comparison.

19 PANEL MEMBER BLANC: In fact, it might even be  
20 more words than the actual parent document. Is that  
21 possible?

22 (Laughter.)

23 PANEL MEMBER BLANC: Did you do a word count?

24 PANEL MEMBER GLANTZ: I think that's not  
25 necessary.

1 (Laughter.)

2 CHAIRPERSON KLEINMAN: All right. Are there any  
3 other comments from the Panel?

4 Okay. It looks like we have a lot of editorial  
5 changes requests. And the only thing that might be  
6 substantive is if the combining of the male and female  
7 data and the BMD model would give a slightly different  
8 point of departure.

9 PANEL MEMBER BLANC: Well, it's going to.

10 CHAIRPERSON KLEINMAN: Yeah, it should. So we  
11 have, I guess, two courses of action. One would be to  
12 have the document revised and brought back to the whole  
13 Committee, which given the large number of comments and  
14 the large number of things that are going to be changed  
15 and the possibility that we may end up with a different  
16 REL, I think we probably need to do that, rather than  
17 having it go back just to the reviewers.

18 So I think we should have this document revised  
19 and resubmitted to the Committee for the next available  
20 meeting, I guess, or, you know, whenever you can get it  
21 done. Time is an issue.

22 So having done that, so we're agreed on doing  
23 that. If we have no other comments on either the  
24 documents, then I think --

25 PANEL MEMBER BLANC: Do you want to just have --

1 perhaps have the minutes reflect that there's consensus on  
2 that approach.

3 CHAIRPERSON KLEINMAN: I think that's a good  
4 idea. There -- are there any objections?

5 PANEL MEMBER GLANTZ: Stan agrees.

6 CHAIRPERSON KLEINMAN: Yeah, Stan agrees. I'm  
7 getting nods of heads from everybody on the Panel. I  
8 guesses we're all too tired to --

9 PANEL MEMBER GLANTZ: I'm nodding my head too.

10 CHAIRPERSON KLEINMAN: He's nodding his head too.

11 Okay. Having said that, we have an agreement to  
12 have the document returned. And in that case. We've  
13 really concluded our business for the day. I'd ask for a  
14 motion to adjourn.

15 PANEL MEMBER HAMMOND: So moved.

16 PANEL MEMBER RITZ: Second.

17 CHAIRPERSON KLEINMAN: Kathy was the motion,  
18 Beate was the second.

19 And therefore declare the meeting adjourned.

20 (Thereupon the California Air Resources Board,  
21 Scientific Review Panel adjourned at 1:13 p.m.)  
22  
23  
24  
25

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the  
5 foregoing California Air Resources Board, Scientific  
6 Review Panel meeting was reported in shorthand by me,  
7 James F. Peters, a Certified Shorthand Reporter of the  
8 State of California;

9 That the said proceedings was taken before me, in  
10 shorthand writing, and was thereafter transcribed, under  
11 my direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or  
13 attorney for any of the parties to said meeting nor in any  
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand  
16 this 18th day of March, 2016.

17  
18  
19  
20 

21  
22  
23 JAMES F. PETERS, CSR  
24 Certified Shorthand Reporter  
25 License No. 10063