

TELECONFERENCE MEETING
STATE OF CALIFORNIA
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
CONFERENCE ROOM 510, 5TH FLOOR
1001 I STREET
SACRAMENTO, CALIFORNIA

UNIVERSITY OF CALIFORNIA, LOS ANGELES
SCHOOL OF PUBLIC HEALTH
CENTER FOR HEALTH SCIENCES, ROOM 46-060
650 CHARLES E. YOUNG DRIVE, SOUTH
LOS ANGELES, CALIFORNIA 90095

UNIVERSITY OF CALIFORNIA, DAVIS
DEPARTMENT OF MOLECULAR BIOSCIENCES
EVERSON HALL, ROOM 220
SCHOOL OF VETERINARY MEDICINE
ONE SHIELDS DRIVE
DAVIS, CALIFORNIA 95616

UNIVERSITY OF CALIFORNIA, SAN FRANCISCO
KALMANOVITZ LIBRARY
530 PARNASSUS AVENUE, SUITE 366
SAN FRANCISCO, CALIFORNIA 94117

UNIVERSITY OF CALIFORNIA, BERKELEY
757 UNIVERSITY HALL, 7TH FLOOR
ENVIRONMENTAL SCIENCES DIVISION
SCHOOL OF PUBLIC HEALTH
2199 ADDISON STREET
BERKELEY, CALIFORNIA 94720

TUESDAY, APRIL 2, 2013

3:06 P.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

John R. Froines, Ph.D., Chairperson

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Stanton A. Glantz, Ph.D

S. Kathryn Hammond, Ph.D.

Bill Nazaroff, Ph.D.

Beate Ritz, M.D., Ph.D.

REPRESENTING THE CALIFORNIA ENVIRONMENTAL PROTECTION
AGENCY:

Dr. Gina Solomon, Deputy Secretary, Science and Health

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

Dr. Linda Smith, Chief, Health and Exposure Assessment
Branch

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Dr. Joe Brown, Staff Toxicologist

Dr. Andy Salmon, Chief, Toxicology and Risk Assessment
Section

Dr. Melanie Marty, Acting Chief, Reproductive and Cancer
Hazard Assessment Branch

A P P E A R A N C E S C O N T I N U E D

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Dr. David Siegel, Chief, Air, Community and Environmental
Research Branch

I N D E X

PAGE

1. Continuation of the Panel's review of proposed acute, 8-hour, and chronic Reference Exposure Levels for 1,3-butadiene (February, 2013)

From its previous meeting in October 2012, the Panel will continue its review of the Office of Environmental Health Hazard Assessment (OEHHA) staff report proposing acute, 8-hour, and chronic reference exposure levels (RELs) for 1,3-butadiene and the scientific evidence used to derive the proposed levels. The RELs are concentrations in air at or below which noncancer adverse health effects are not expected to occur, even in sensitive members of the general population. Once adopted by the OEHHA Director, the report and the RELs will become part of an Appendix to "Air Toxics Hot Spots Risk Assessment Guidelines Technical Support Document for the Derivation of Noncancer Reference Exposure Levels." The proposed RELs are necessary to fulfill the requirement in state law (Health and Safety Code section 44360 (b)(2)) that OEHHA develop health risk assessment guidelines for the Air Toxics Hot Spots Program. Updated version of the report is posted at:
http://www.oehha.ca.gov/air/hot_spots/030113_13buta.html

2

2. Consideration of administrative matters.

The Panel may discuss various administrative matters and scheduling of future meetings.

79

Adjournment

83

Reporter's Certificate

84

1 P R O C E E D I N G S

2 CHAIRPERSON FROINES: All right. So I'm going to
3 open the meeting on -- which is July -- April 2nd, Tuesday
4 at 3:00 p.m. of the Scientific Review Panel, and we should
5 proceed with the roll call. Go ahead, Peter

6 MR. MATHEWS: UCLA, please.

7 PANEL MEMBER RITZ: Beate Ritz.

8 PANEL MEMBER ARAUJO: Jesús Araujo.

9 CHAIRPERSON FROINES: John Froines.

10 MR. MATHEWS: UC Berkeley?

11 PANEL MEMBER NAZAROFF: Bill Nazaroff.

12 PANEL MEMBER HAMMOND: Kathy Hammond.

13 MR. MATHEWS: UC Davis?

14 PANEL MEMBER BUCKPITT: Alan Buckpitt.

15 MR. MATHEWS: UCSF.

16 PANEL MEMBER BLANC: Paul Blanc.

17 PANEL MEMBER GLANTZ: Stan Glantz.

18 MR. MATHEWS: John, do you want to identify who's
19 at the Air Resources Board for the record?

20 CHAIRPERSON FROINES: Yes.

21 DR. SIEGEL: David Siegel.

22 PANEL LIAISON BEHRMANN: Jim Behrmann.

23 DR. SMITH: Linda Smith.

24 DR. MARTY: Melanie Marty.

25 THE COURT REPORTER: Jim Peters, the court

1 reporter.

2 MR. MATHEWS: Peter Mathews.

3 CHAIRPERSON FROINES: Okay. We can proceed. And
4 who is going to be the lead for OEHHA in terms of the
5 presentation?

6 DR. SIEGEL: Okay. Dr. Froines, I'll be
7 introducing the lead. My name is David Siegel, and I'll
8 be introducing Dr. Joe Brown.

9 (Thereupon an overhead presentation was
10 Presented as follows.)

11 CHAIRPERSON FROINES: Okay. Go ahead.

12 DR. SIEGEL: Well, good afternoon, Mr. Chairman
13 and Panel Members. My name is David Siegel, and I'm the
14 Chief of the Air, Community, and Environmental Research
15 Branch of the Office of the Environmental Health Hazard
16 Assessment. Last September, we sent our public review
17 draft on the document of 1,3-butadiene reference exposure
18 levels for acute, 8-hour, and chronic exposures to the
19 SRP. And at the SRP meeting in October, you provided us
20 with comments and suggestions on the document.

21 At the beginning of March, we sent you our
22 revised draft document, with changes based on your
23 comments and suggestions. Today, Dr. Joe Brown, the
24 author, will present you the changes we have made to the
25 document.

1 Joe.

2 DR. BROWN: Yes. There's a PowerPoint
3 presentation. Can I ask if everybody has access to this?

4 PANEL MEMBER RITZ: I'm trying to find it in my
5 thousands of emails. Can somebody tell me --

6 DR. BROWN: The first slide has 1,3-butadiene
7 reference exposure levels draft on it.

8 PANEL MEMBER GLANTZ: The email was sent from
9 Andy Salmon.

10 DR. BROWN: It would really help if you could
11 find this and sort of follow along as I go through them.

12 PANEL MEMBER BUCKPITT: This is Alan Buckpitt. I
13 have it.

14 CHAIRPERSON FROINES: Okay. Beate is going to
15 sit next to Jesús and look over his shoulder at his
16 screen.

17 We can proceed.

18 DR. BROWN: Well, let's go to the next slide
19 then, which this gives the overall summary and these are
20 the same values we had the last time. In other words, the
21 revisions didn't involve any numerical changes in the
22 values we were proposing.

23 The acute REL of 0.66 mg/m³, the 8-hour REL of 28
24 µg/m³, and a chronic REL of 7 µg/m³.

25 Now, we have added some additional supporting

1 analysis for the chronic REL, and more explanatory
2 material throughout the text, but the basic values have
3 not changed.

4 Next slide, please.

5 Okay. This slide -- the top of it has MATES III
6 if you're following along.

7 This table was requested to be a companion to the
8 table we had on ambient values in the Bay Area. This
9 particular table from the MATES III analysis is southern
10 California values. And on the whole, they're about twice
11 the values we see in the Bay Area. I think there was some
12 concern that they might be a lot higher than that. But if
13 you look at the values across the Board, they seem to be
14 about twice as high.

15 We also added some material on photo chemistry,
16 an expanded discussion of that. More I added on the
17 environmental tobacco smoke, a study on Finnish
18 restaurants. A wood burning study from Sweden also added
19 discussion about that.

20 Next slide.

21 CHAIRPERSON FROINES: Can I interrupt?

22 DR. BROWN: Go ahead.

23 CHAIRPERSON FROINES: I just wanted to make a
24 comment which is that there are a large number of
25 PowerPoint slides and some detail, and I wanted to make

1 sure that the Panel is comfortable that we prefer not
2 asking -- breaking into your presentation to ask
3 questions, but if there are questions that will help
4 clarify what's on this PowerPoint slides, they should feel
5 free to ask them.

6 DR. BROWN: At this point, I'm just sort of
7 listing some of the major changes, which generally, if you
8 have a hard copy, these are all values that are -- are all
9 things that are underlined in the text as being revisions.
10 So you could sort of follow that.

11 If you think it would help, I might be able to
12 give some page numbers, but sometimes that does not work.

13 Anyway, going on, we've added additional studies
14 on indoor air, Logue et al. And this 2006 study by
15 Marshall is based on about 20,000 commuters in the South
16 Coast Air Basin. And he estimates that intakes are about
17 eight percent of the chronic REL that we're proposing. So
18 I think, based on that, there seems to be an adequate
19 margin of safety for the values that we have.

20 We've added material on comparative butadiene
21 metabolism from Bond et al. and also on adducts as
22 biomarkers, Sangaraju et al. 2012.

23 Next slide.

24 We added the Khalil et al. 2007 a study on
25 neurotoxicity, which was interesting, but we didn't find

1 it adequate for risk assessment.

2 We tried to clarify the --

3 CHAIRPERSON FROINES: I should comment that --

4 DR. BROWN: Go ahead.

5 CHAIRPERSON FROINES: -- I thought that that
6 study was important from the standpoint of identifying
7 neurotoxicity as an endpoint, and that there was evidence
8 of acute and chronic effects.

9 DR. BROWN: Yes. And the authors identified it
10 as -- butadiene as a neurotoxicant based on that study.
11 So I think that is important, but I think for dose
12 response assessment you need more information.

13 PANEL MEMBER BLANC: Well, maybe we can come back
14 to that when we discuss the slides.

15 DR. BROWN: I try to clarify the acute toxicity
16 dose response, and I hope that's done successful, but I
17 want to know after you read it.

18 I added material on cardiovascular, because this
19 was a specific request. I looked at the Penn and Snyder
20 2007 and went back and looked at the Matanoski
21 occupational epidemiology information. And while there's
22 data suggesting an effect here, it's not really adequate
23 for dose responsiveness. There is some interesting
24 information on Avian model, which is mentioned in the --
25 by Penn and Snyder as well.

1 Next.

2 Now, I've added a section, sort of a speculative
3 specs, on mode of action. And this basically depends on
4 sort of adduct formation on cellular protein, DNA adduct
5 formation and oxidative stress. Now, this is largely
6 speculative, but, you know, I think -- I don't think we're
7 going to get a lot of arguments about that, but it's at
8 least some idea of what might be going on here.

9 I tried to add more information of dosimetric
10 adjustment factor how that value that we used was derived
11 basically from pharmacokinetic modeling.

12 And I've added an Appendix A, an additional
13 description, of why we even mentioned mutagenicity in
14 genetox, it's because we think that mutagenicity is not
15 only involved in cancer, but could also be involved in
16 degenerative diseases.

17 Next slide.

18 I've added another table in the appendix on the
19 acute of the BMCL analysis on both the Hackett et al. and
20 the Green et al. 2003 data sets. This is for both the
21 total male and female, and also the male only. And I did
22 this because it was requested that we have more
23 information on that.

24 There is a difference of 30 percent in the 95
25 percent lower bounds. And we think that's well within the

1 ranges of our experience with this type of analysis. We
2 also had the Green 2003 study reviewed by our staff
3 biostatistician and it was judged in analysis by his
4 review. So we think that study is okay to use.

5 Next slide.

6 Overall, we reviewed 65 references and added 32
7 in the paper. So we -- you know, we fleshed it out, added
8 more references. It's heavier now. We hope it has more
9 light on it at least.

10 You'll remember that right before the last
11 meeting we were presented with a paper by Kirman and Grant
12 on a meta-analysis. And so, you know, we've reviewed this
13 paper. Toward the end of the document, there's about four
14 paragraphs. I think -- I don't know exactly what page
15 they're on, but they're sort of toward the end of the text
16 right before the references.

17 The first two paragraphs basically are our
18 interpretation of what the study -- what was done, in
19 other words, what they seem to have found.

20 And the next paragraph sort of is, you know, what
21 we think is wrong with it. Why we couldn't take the data
22 or the conclusions of that paper and use them directly in
23 our assessment. And that is basically because their
24 assessment essentially assumes site concordance, in other
25 words, what's happening in the mouse is happening in the

1 human.

2 And our basic philosophy of risk assessment is
3 that we take the most sensitive effects that we can find
4 in an animal study and we apply uncertainty factors for
5 that, which we think are appropriate, and we assume, or we
6 believe, that that will provide an adequate margin of
7 safety for any effect in humans, including children.

8 So our analysis does not depend upon site
9 concordance. And we think that their analysis, or their
10 approach, does. And that's not to say that the methods
11 they've used are wrong. In fact, we've actually applied
12 one of their methods to our data sets to see what the
13 difference would be.

14 Next slide, please.

15 I should say the last paragraph that's our
16 answering the question what if we took their method and
17 applied it to our data sets.

18 So basically, in order to compare their results
19 with ours, we used their time-weighted average internal
20 dose, which was based on an algorithm, which derived an
21 average concentration of the diepoxybutane generated by
22 butadiene exposure. And we conducted a multi-stage
23 Weibull non-fatal analysis. This was a -- instead of a
24 time to tumor, it was a time to effect analysis.

25 We did this using the new EPA software, which was

1 not used at all. We had to get some help from someone
2 John Fox at the U.S. EPA. Thank you very much, John. And
3 we chose to apply this to the same data set we used in our
4 chronic analysis.

5 In that analysis, we applied it to all three time
6 points the 9-, 15- and 24-month data. Here, we're
7 applying it only to 24-month data, which is a small number
8 of animals, 325. So here, we're using individual animal
9 data for dose, time of death, the incident, which is they
10 were found to have effects of ovarian atrophy and
11 censored -- they were found not to have outside -- and the
12 number of animals dying at that particular time.

13 And we obtained a value or 0.5 parts per million
14 BD equivalent. This value is very close to the one part
15 per million value we obtained in our time-weighted
16 analysis. So we don't think it's really significantly
17 different. It's basically supporting the effect we got.
18 We have a larger number of animals.

19 Next slide, please.

20 PANEL MEMBER BLANC: Wait. I know we weren't
21 going to go by pages, but there's a -- is what you're
22 saying related to --

23 DR. SIEGEL: Could you please identify yourself
24 for the court reporter.

25 PANEL MEMBER BLANC: Paul Blanc. Is what you're

1 saying related to Table 7 in the revision, page 41?

2 DR. BROWN: Yes, that's for -- that's Table 7.

3 PANEL MEMBER BLANC: Paul Blanc again. So the
4 units in Table 7 are what?

5 DR. BROWN: Well, the units in the analysis are
6 average the concentration of diepoxybutane generated from
7 an algorithm. The algorithm is based on binding of
8 diepoxybutane to form specific -- DEB-specific adducts of
9 hemoglobin.

10 So if you look at their paper, and actually it's
11 described somewhat in the text in my description of it,
12 they use the algorithm to calculate the average
13 concentration. They said they could not use a
14 pharmacokinetic model, so they used this algorithm
15 instead. So I took their values and ran the analysis on
16 our data sets using their values --

17 PANEL MEMBER BLANC: Right.

18 DR. BROWN: -- to try compare, you know, what we
19 would get if we applied their method to our data set.

20 PANEL MEMBER BLANC: So rather than
21 concentrations, these are times?

22 DR. BROWN: Well, time is taken into effect.
23 It's both dose and time. A multi-stage Weibull analysis.
24 If you look at the footnote, it gives the equation for the
25 response, the probability of response.

1 PANEL MEMBER BLANC: And that's why you have 0.5
2 ppm as your lower concentration ppm. That's dose
3 equivalent.

4 DR. BROWN: For all of these you have to workout
5 what the external BD equivalent is, whether there's a dose
6 response curve or a standard curve to try to get -- to go
7 from the internal to the external.

8 In doing all that, we get a value that's very
9 close in value if we did it by a different analysis. It
10 doesn't involve individual animals. They use quantal data
11 of aggregate data for each dose.

12 PANEL MEMBER BLANC: Right. And you're --

13 DR. BROWN: In other words 50 out of 70.

14 PANEL MEMBER BLANC: And that value is one part
15 per million.

16 DR. BROWN: Yes.

17 PANEL MEMBER BLANC: So were you to use half a
18 part per million -- just -- I want to make sure I
19 understand -- which is half as much, then would your
20 reference level be 1.5 parts per billion instead of 3?

21 DR. BROWN: Yes. In fact, I'll get to that in a
22 minute, in the next slide. You're getting ahead of us
23 here.

24 PANEL MEMBER BLANC: All right.

25 DR. BROWN: So basically this particular analysis

1 is based on 325 animals not 435. The original analysis,
2 which is based on the applied dose, not the internal dose,
3 right, gave us a value of 3, and its companion value of
4 4.8, which I'll also get to.

5 Go to the next slide.

6 MS. MATHEWS: Joe, can you speak up? The court
7 reporter is having more difficulty hearing you.

8 DR. BROWN: Okay. Sorry.

9 MR. MATHEWS: Stat near the mic, please.

10 DR. BROWN: The mic is right in front of me.

11 MR. MATHEWS: Okay. Thank you.

12 DR. BROWN: Now, in addition to that, that's
13 taking their metric, you know, applying their methodology
14 and our data set.

15 Now, the next thing I did was I wanted to see if
16 their assumption that they could not use a pharmacokinetic
17 analysis was correct. You know we have a pharmacokinetic
18 model that we use in other parts of the analysis.

19 So we went back and we said, well, what if we
20 took the same type of metric, the average concentration of
21 the DEB metabolite in the mouse model, PBK model, and used
22 that? And so we went back and did that. And that's shown
23 on this slide here.

24 This again is for the 24-month data. We're using
25 an average -- we took the average -- the area under the

1 curve or 24-hour simulation, divide it by 24 to get the
2 average concentration, and we used that in a dose only.
3 So this has no time adjustment at all. And this gave a
4 very good fit to the log-logistic model, in fact, a dose
5 response.

6 Now, because of averaging, this gives a higher
7 overall value for the cREL of 8.5, so there's no time
8 adjustment here.

9 Next slide, please.

10 This shows the dose response. And you should be
11 seeing a graph here with a log-logistic on the top. This
12 is the dose response for five doses plus the control with
13 the pharmacokinetic internal dosimetric of the DEB
14 concentration. So that's a really extent dose response.
15 It's one of the nicest ones I've ever seen for so many
16 doses. In fact, Andy, you saw thought this was really
17 good.

18 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

19 CHIEF SALMON: I was quite impressed by that. This is
20 Andy Salmon here.

21 DR. BROWN: Okay. Next slide, please.

22 Now, to try to bring everything together, we have
23 four different estimates. The top two are essentially
24 similar, slightly different models. Specifically, there's
25 not much to choose between them. We took the lower of

1 these two. These are based on applied dose, 435 animals,
2 probit analysis of aggregate data. Note it is
3 time-weighted, not individual animals.

4 The middle one, the MSW, is the analysis using
5 the Kirman and Grant approach. This has fewer animals,
6 325. It has this algorithm derived metric, and it gives
7 the lowest value 1.5 parts per billion. And then the
8 final is the dose only analysis, 325 animals, using a PBPK
9 metric and it gives the highest value of 8.5, but no time
10 adjustment.

11 The geometric mean of those four values is 3.7,
12 which is not really different from our analysis that's
13 done.

14 So on the top we have applied dose, on the bottom
15 two we have, you know, dosimetric based on some sort of
16 modeling. And overall, we think we get a pretty good
17 agreement between all of the values.

18 So we still think that the three part per billion
19 is the best overall value. It's not the lowest, it's not
20 the highest. It's in the middle somewhere, but we think
21 it uses the most animals. It doesn't have the uncertainty
22 of the algorithm or the pharmacokinetic modeling. So we'd
23 like to stick with that as our best estimate.

24 So that's what I planned to give. Now, I do have
25 more slides, which you may not want to get into. The

1 degree of fit business on the Multistage Weibull is a very
2 complicated business. I have separate sides on that if
3 you want to get into that. You probably don't. But if
4 you do, we spent a lot of time on it. It has a separate
5 program which you have to get through to get it.

6 We got, what we think is, excellent sets, we
7 think, of the Multistage Weibull model. We can't get a
8 regular chi-square on it like the other analyses. What we
9 did get, a series of graphs, seems to indicate it fits
10 pretty good.

11 So I have those if you want to look at them, but
12 its okay if you don't. That's all. That was the end of
13 my planned presentation. As I said, I do have some
14 additional slides, if you want to go through them, but
15 it's not necessary for the --

16 PANEL MEMBER BLANC: Paul Blanc here. How many
17 slides on the model do you have?

18 DR. BROWN: Six or eight.

19 PANEL MEMBER BLANC: Why don't you do them
20 quickly, so that we can say that we've --

21 DR. BROWN: Let's do the first one.

22 This basically sets it up it. It says while
23 there's no chi-square with a statistic -- you know this
24 Multistage Weibull time. You know, with the new EPA
25 software, there is this list of -- or this group of plots.

1 And there are basically four plots. There's the dose
2 response plot, DR, a probability time plot, quantile
3 quantile, and probability probability plots.

4 So you're looking at different things in
5 different points. Let's look at the first one.

6 This is the probability dose plot. And what
7 you're looking at here is the solid line is --

8 PANEL MEMBER BUCKPITT: Joe, I'm sorry to
9 interrupt, but you need to orient this to where you are in
10 the slides, because we need some coordinates that are more
11 than just this slide.

12 PANEL MEMBER ARAUJO: The number of the slide,
13 perhaps.

14 PANEL MEMBER GLANTZ: It's got a graph on it.
15 And type BMD for Incident Risk at T equals 737.

16 DR. BROWN: It's a graph with a square in the
17 upper left-hand corner.

18 PANEL MEMBER HAMMOND: And this is the graph that
19 follows Goodness of Fit Plots, right?

20 DR. BROWN: It's the probably of incidental risk
21 at T equals 737, which is days, which is the end of the
22 study, and dose, which is in parts per million, butadiene.

23 And so the solid line is the Multistage Weibull
24 prediction. And the points are a non-parametric analysis.
25 And the goodness of fit is estimated to about how close

1 the non-parametric points are to the parametric points,
2 which is a period. And so overall they say this is the
3 most important of the various plots that they give, and
4 part of this is a little bit.

5 Next slide.

6 Okay, this is the -- in the probability versus
7 time plots, there's a plot for each dose. And so we've
8 got six doses here, so there's six little plots. And in
9 this series of plots, the line is the Multistage Weibull,
10 and the dots are the non-parametric estimate. Again, how
11 close the dots come to the line is an indication of the
12 degree of fit.

13 So there's a separate one for each particular
14 dose, and the doses are in animals -- it's animal average
15 concentration of DEB.

16 Next slide.

17 That just gives the last two doses of the
18 probability versus time.

19 Next is the time versus time. Now, this is a
20 little bit different. Here is the Multistage Weibull
21 versus non-parametric. And the line is just a line of
22 equality between the two. So how close the points come to
23 the line here is a measure of how good the fit is. You
24 can see it's not very good. It's at about a zero dose.
25 But if you go up in dose sort of go toward the line.

1 Next slide.

2 And the top two doses.

3 And finally, this is the probability probability
4 curve, multi-stage Weibull versus non-parametric analysis,
5 the same sort of idea. The line is the line of equality
6 in the middle. And again, it's how close the points come
7 to the line.

8 So that's it. Those are -- this is run on a
9 separate program. It's called Goodness of Fit Plot.
10 It's, you know, a command line thing, which is the open
11 source system that we use.

12 But it's not that easy to use, but, you know,
13 we've managed to get it to work. It's in there. It's not
14 in the document. It's too, you know, complicated. But I
15 wanted to at least present it to you, so you could see
16 that, you know, we do have what we think is a good fit for
17 all of these data sets. So that's the end of the
18 presentation. We can go back and chew over particular
19 slides if you like or --

20 PANEL MEMBER BLANC: So Paul Blanc here. John?

21 CHAIRPERSON FROINES: Yes.

22 PANEL MEMBER BLANC: What are you looking for
23 from today's discussion. Often when we're this far along
24 with the document, we anticipate ending the discussion
25 with a motion for approval with the caveat that there be

1 certain specified items that are cleaned up, assuming that
2 there are not -- it's not too big a laundry list. Is that
3 what your anticipation and expectation is?

4 CHAIRPERSON FROINES: Yes. I think that you
5 stated very correctly. So I would say, at this point, the
6 first thing we might ask is are -- do people have
7 particular questions before we go to the leads and then
8 the Panel?

9 PANEL MEMBER BLANC: That was my only question on
10 process.

11 CHAIRPERSON FROINES: Are there other questions
12 associated with the actual presentation that people want
13 to raise at this point?

14 I'm hearing no sound, so I'm assuming that there
15 are no questions. So I think that we should now turn it
16 over, people willing, to the leads on the Committee.

17 PANEL MEMBER BLANC: Who are the leads?

18 CHAIRPERSON FROINES: Jesús and Alan. So Jesús,
19 why don't you kick it off.

20 PANEL MEMBER ARAUJO: Sure. Yes. Overall, I
21 think that this is a very improved version of the previous
22 draft. And it is -- this version includes some elements
23 that the previous one didn't have and proven some others
24 that requires some additional work. For example, there is
25 a better description of the butadiene as an indoor agent,

1 and also as a component of the environmental tobacco
2 smoking. There is improvement in the description of
3 the -- some of the metabolites and originates from the
4 butadiene the biomarkers of effect.

5 There is incorporation also of some status in
6 that looking to the cardiovascular toxicity and the
7 neurotoxicity.

8 Overall, I think that, as I said, that there is a
9 very important improvement of the draft. I have some -- a
10 list of smaller points that I will mention like section by
11 section. So in the first section, the summary, I don't
12 really have anything to highlight.

13 On the second version -- on the second point
14 which is the physical and chemical properties, so the only
15 thing that I would add is that just like in the parameters
16 that are mentioned like the solubility. It says it's very
17 slightly soluble in water, 735 milligrams per liter. I
18 just -- I believe that this coefficient or this solubility
19 is at 20 degrees. So if that is the case, so please add
20 it.

21 On the third section of the occurrence and
22 exposure, it is an important addition on the sources of
23 exposure for the butadiene. And, however, I do have a
24 point on the first paragraph, where it is mentioned and
25 towards the middle section of the paragraph that the,

1 "Butadiene is a component of the gasoline, as well as a
2 product of the combustion of minor gasoline components,
3 olefins, and cyclohexane". And then there is a
4 description about how it is contributed from the different
5 industries and motor vehicles.

6 It just gives the impression as if it is
7 exclusively coming from gasoline. There is no mention
8 about the diesel. And butadiene also comes from the
9 combustion of the diesel. So I think it pretty much got
10 all the combustion of all the motor vehicles fuels and
11 wood generated.

12 The second is that there is only a small
13 component or small proportion of the butadiene in the
14 fuels, per se. It really concentrates at the combustion
15 of the fuels, which is probably not stated. I mean, the
16 very large amount of the butadiene that is released is
17 again from the combustion of this fuels.

18 In the 4th section on the metabolism, I also
19 think that there is an important improvement. However,
20 from this and towards the end of the draft and probably
21 even towards the section that talks about the mode of
22 action. There is -- there are some points that may make
23 the presentation of the data and the different things that
24 I reviewed somewhat confusing.

25 And even though it is not clearly stated in any

1 point in the draft that all the toxicity is given by the
2 auxiliary products or by the metabolites of the butadiene,
3 and this is only disclosed at the end in that Section 7.3.
4 It almost gives like an impression that some -- it wanders
5 like it's going like -- in some cases it appears that
6 you're given like all the burden of the effects, and so
7 these metabolites and these other sections it is not
8 clear.

9 And I think that part of that ambiguity and that
10 difficulty in understanding the course of the statement is
11 just because of what you disclosed in that paragraph in
12 the Section 7.3, which is like the mode of action -- and
13 I'm just going to read exactly how it is presented in this
14 current draft.

15 You start that 7.3 -- I'm sorry. Correct. When
16 I talk about Section 7.3, I am really referring to Section
17 7.4 or Mode of Action. So that section you cited by
18 saying, "there is currently no accepted mode of action for
19 the acute or chronic effects of butadiene exposure noted
20 in this document".

21 And then you go into a whole list of the
22 different modes of different ways how it can have an
23 effect. And I think that at the end, and may be this is
24 something it can be brought to the discussion among the
25 panel, the mode of action on how is it that the butadiene

1 causes the different effects depends on the type of
2 effects.

3 And like it appears to me that some of the
4 genotoxic effects and those that lead to cancer and
5 perhaps some of the effects on reproductive biology are
6 most reduced to the metabolites, and some of the adducts
7 that are created in between the metabolites and the
8 proteins and the metabolites and the DNA.

9 However, some of the effects like, for instance,
10 the vascular effects or cardiovascular effects, and we
11 don't know if there are any neurological effects, may not
12 relate then to these metabolites or the adducts that are
13 formed with the metabolites so clearly.

14 So I -- what I could suggest is that even in
15 that -- from -- in the initial presentation of the
16 metabolism, there could be like a couple of sentences
17 where this is disclosed, that there are different modes of
18 action depending on the different effects that this will
19 be discussed in more details in a section and you can name
20 the section.

21 Some of the effects are due to the metabolites or
22 the process of the metabolism that would be described in
23 this section. Some of the effects may be viewed to other
24 non or mechanisms that are not clear as they would be
25 presented also in other sections and you can name also the

1 other sections.

2 So this will set the stage like for the person
3 who is reading this draft that we understand, okay. So
4 when we're talking about these products and maybe this can
5 explain like some of these effects, but maybe not explain
6 some of the others.

7 This is probably the biggest change that I could
8 recommend just for clarity. I mean, I don't believe that
9 you are really constructing or you are really following
10 any means statement. I just feel that in the way how it's
11 presented, it is just difficult for the reader to perceive
12 that.

13 CHAIRPERSON FROINES: Can I interrupt you?

14 PANEL MEMBER ARAUJO: Sure.

15 CHAIRPERSON FROINES: Could you clarify a little
16 bit when you say that some effects may be the results of
17 other pathways. I'm not quite clear on what you're
18 thinking about. I would argue that the toxicity of
19 butadiene, whether it be with proteins or DNA, is a result
20 of oxygenated metabolites or atmospheric chemistry
21 products, and that we're dealing with, for example,
22 hydroxymethyl vinyl ketone is an alpha, beta-unsaturated
23 ketones, and one would expect that to carry out Michael
24 Addition Reactions and that would be a pathway to binding
25 with protein.

1 So I wasn't -- so I'm -- I think that the
2 hydroxylated or ketones products that follow are very
3 important, as well as the epoxides and the -- so I
4 won't -- I think we need to be clear on what we mean by
5 other effects may occur.

6 PANEL MEMBER ARAUJO: Sure. Yeah. You're going
7 right to the point that I'm trying to go over or discuss.
8 And I agree with you, John, I think that most of the
9 effects are really due to oxidative biology. The
10 confusing part is that the auxiliary products of the --
11 that are generated that come -- result in the information
12 on some adducts and with the DNA or the protein. And
13 this -- and adducts and cancer as biomarkers of exposure,
14 and in some cases as the biomarkers of effects, but it
15 depends on the effects.

16 So we're talking about like a genotoxicity and
17 perhaps reproductive biology. So, to me, they may serve
18 as biomarkers of effects. But if we talk about like
19 vascular effects, and whereas they both mentioned, perhaps
20 neurological effects, maybe those are not biomarkers of
21 effects. It could be biomarkers of exposures or may not
22 even serve as such. We just don't know.

23 And the reason why I'm saying this is because in
24 one of the studies that is mentioned also in this graph,
25 there -- I don't know if I can locate it, but they

1 say -- yeah, this is in page 30 in the last paragraph of
2 page 30, when they refer to the studies of Penn and Snyder
3 1996 and 2007, "...found that the atherogenic effects of
4 butadiene exposure was apparently not associated with
5 either of the two principal epoxy metabolites of
6 butadiene, 1,3-epoxybutene and 1,2:3,4-diepoxbutane.
7 Neither of these metabolites was increased by butadiene
8 exposure in avian liver in contrast to mouse liver".

9 And this goes back to the discussion that we had
10 a few months ago when this was first presented, because it
11 was the impression that all these metabolites could be
12 leading and explaining the toxicity, but then we have
13 these other reports where apparently that was not so
14 clear.

15 And even though they do present it, and even
16 though they do have this paragraph in the 7.4 Section, it
17 is still not clear, maybe because it just requires an
18 additional refining of the few sentences here or there.
19 Because that's why I suggest that maybe even up front and
20 even before they start describe the whole metabolism, you
21 just do like a couple of sentences on this, or what I
22 mentioned, it could help on the perception.

23 CHAIRPERSON FROINES: I think that's very
24 important, because there has been debate among the mouse
25 versus rat models in terms of epoxide formation and the

1 relative rates of those formations, so that I think what
2 you're suggesting is very important, because there are
3 other pathways that may be significant over that which has
4 been part of the major debate.

5 PANEL MEMBER ARAUJO: Yeah, absolutely.

6 So let's continue. So then in Section 7, even
7 though I've been jumping between 4 and 7. I'm sorry. But
8 let's just go now to Section 7. In the first subsection,
9 7.1, so they talk about the standardized mortality ratio.
10 And they do a good description of the status and where
11 that is used, since that is on page 27.

12 Not everybody knows what SMR is. So maybe they
13 could define it. It's just a suggestion, because
14 otherwise the result -- even the reason that is
15 familiarized with the toxicology literature may not
16 necessarily be familiar with SMRs.

17 In the second paragraph, when they refer to some
18 status of butadiene in atherosclerosis, they refer to
19 study, Salama et al. in 2002, and that it studied 120
20 atherosclerotic AR subjects. And I couldn't commit to the
21 use of that abbreviation is -- even if it is used by the
22 authors, which I'm not sure, it is not a standard
23 abbreviation. And it's like confusing, and you're not
24 really using it later on in the document, so I think that
25 it's unnecessary.

1 In the Section 7.3, where you include new studies
2 about the chronic toxicity in experimental animals suggest
3 in adding some of the studies that talk about the
4 cardiovascular toxicity. However, you do mention, and got
5 most of the data from the reviews from Penn and Snyder
6 2007. You even mention the year of the originally cited
7 from Penn and Snyder in 1996, but don't really cite it and
8 don't really take the data straight from there. So I
9 think the original study should be cited and also should
10 be included in the bibliography, which at the moment it's
11 not, and not just based it on this course of yours and
12 segments and based on the review.

13 And I think that in the Section 8 in the
14 derivation of reference exposure levels, I don't really
15 have much to say. Just a very little point. Maybe it is
16 bigger, which is just that in section 8.4 -- I'm sorry in
17 Section 8.3, in the page 37 on the third paragraph, you
18 talk about butadiene causes non-cancer effects following
19 chronic exposure, the most important of which appears to
20 be reproductive toxicity.

21 So I would change that "most important" to the
22 most studied perhaps, because it may be that some of the
23 other types of toxicity, like a neurological or
24 cardiovascular could be even more important. It's just
25 that they haven't been studied.

1 The reason why it said this is because butadiene
2 is an important derivative or constituent in emissions of
3 motor vehicles. And it's an important constituent in the
4 active smoking, as well as in the passive smoking. So it
5 may be responsible for an important proportion of the
6 cardiopulmonary non-toxicity studies associated with it,
7 in which case the effects may be even larger than the --
8 that the effects are very one-sided and very well
9 presented for the reproductive toxicity. So I would just
10 change the wording.

11 And I think that's, you know, this is really the
12 most important point.

13 CHAIRPERSON FROINES: Beate has a comment.

14 PANEL MEMBER RITZ: Yeah. I just saw that the
15 FMRs that were referred to actually are reported like
16 those old FMRs from 25 years ago. You have FMRs of 148.
17 I would suggest to turn that into 1.48 because the ratio
18 is always 1 is the null. I know it was used as times 100
19 to make it easier to write, but that's not clear in the
20 document and what we now use is a 1.

21 PANEL MEMBER ARAUJO: Yeah. I have to say that
22 personally I should have to go and search for it and see
23 what actually was, because it was not -- so, yeah, I agree
24 Beate.

25 CHAIRPERSON FROINES: The next person is Alan

1 Buckpitt.

2 PANEL MEMBER BUCKPITT: Hi, John. I didn't have
3 a lot to add to that. I sent some minor comments to Andy
4 just this past Friday.

5 There's a couple of things that I saw that you
6 may want to consider. One is related to the recent work
7 coming out Fred Guengerich's lab, where they're shown
8 adducts with DNA basis based on the glutathione conjugate.
9 And, you know, there was just -- when they originally
10 wrote the report, there was only one publication on this.
11 There's now two of them, and they seem to bind to guanine
12 quite easily.

13 And I think we -- Doerr tells a disservice if we
14 just ignore that. I know it's very recent data, but if it
15 binds to DNA basis, it's reactive, it likely binds to
16 proteins, unless the essentially hardness of those
17 electrophiles is quite different.

18 So I think maybe working that in a little bit
19 more to the discussion. And I know it was very recent,
20 but there is another paper in Chem Rez in Tox, and I sent
21 the references to Andy, that you may want to put a couple
22 of sentences into the mechanism on that part of this just
23 to leave it open.

24 And then that brings us back to the metabolic
25 scheme. And you may want to take arrows and draw them

1 from the glutathione conjugates back up to the DNA and
2 protein. Certainly, I think there's evidence for that at
3 this point.

4 And if we look at the metabolic scheme, the
5 mercapturic acids are the N-acetyl-L-cysteine derivatives
6 they're actually drawn as glutathione conjugates. So
7 that's probably just put the sulfur with
8 N-acetyl-L-cysteine that would make that probably a little
9 bit clearer on M1 and M2.

10 CHAIRPERSON FROINES: Alan, can I ask you a
11 question?

12 PANEL MEMBER BUCKPITT: Sure.

13 CHAIRPERSON FROINES: If the -- if they
14 incorporate the Guengerich work which I think they should,
15 are they going to have to explain soft and hard
16 electrophiles.

17 PANEL MEMBER BUCKPITT: I don't think so at that
18 point, because I don't think, John, there's enough out
19 there at this point. Fred did not look at any protein
20 binding at all. It was only binding to guanine and
21 adenine, and he's shown -- he's provided very good
22 physical evidence for those two adducts.

23 And, of course, we look back to
24 hexachlorobutadiene, you know, the old work of Simon Lock
25 and his colleagues, that generates a glutathione conjugate

1 that then becomes again cysteine, and that cysteine
2 conjugate is metabolized by the CFYA in the kidney to a
3 very effective electrophile that produces kidney injury.

4 So I think we shouldn't ignore that potential
5 pathway. It's just not very well worked out from
6 butadiene at this point.

7 CHAIRPERSON FROINES: Does that mean that you're
8 finished?

9 PANEL MEMBER BUCKPITT: That, besides minor
10 comments, John. I mean, I can go through them. I don't
11 think they're worth mentioning. I did send them to Andy.

12 CHAIRPERSON FROINES: So can we switch to Bill
13 Nazaroff who's published in this area, because he may have
14 relevant comments at this point.

15 PANEL MEMBER NAZAROFF: I'm happy to. I've only
16 published in one narrow part of the area, which is
17 exposure. And I appreciate the work that's gone into the
18 revisions. I have a set of comments that are all from the
19 occurrence and exposure section, except for one. So I'm
20 going to be giving these comments referencing where I am
21 to the clean version that was distributed by paper. So
22 it's the second one that came to us in February.

23 So at the beginning on physical and chemical
24 properties section, which is page two Section 2, the
25 statement, "very slightly soluble in water", I guess I

1 accept qualitatively, but when I was trying to figure out
2 what the 735 milligrams per liter meant, the best I could
3 discern, because butadiene has a vapor pressure above one
4 atmosphere at room temperature, so it doesn't exist as a
5 condensed phase species except in containment.

6 That 735 milligrams per liter works out to be
7 what you get if you have one atmosphere of gaseous
8 butadiene in equilibrium in contact with liquid water.
9 That's effectively the Henry's Law partitioning under that
10 condition.

11 It's such a peculiar circumstance. It's not what
12 people normally think, as far as I understand, and Kathy
13 is nodding her head here, with respect to what solubility
14 means. So I would just suggestion striking the number in
15 that line. Just say, "very slightly soluble in water",
16 and leave it at that.

17 You've given all the other data that one would
18 need anyway, the vapor pressure and the Henry's Law
19 constant. That's enough.

20 The other comments I have are all in the section
21 Occurrence and Exposure, and they vary in strength. They
22 don't cut to, I think, the core of what this document is
23 about. Overall, this is just really cleaning up some
24 details, but they're important details.

25 So the second point has to do with the statement

1 that's made at the top of page three, the primary
2 stationary sources are such and such, primary natural
3 sources are wildfires. That made me wonder about
4 residential wood smoke. And we know that residential wood
5 smoke is an important contributor to PM levels in many
6 communities in California, in the wintertime. And
7 there -- Kathy and I did the quick 10-minute search on Web
8 of Science while we were listening to the other comments.

9 Now, we found a few papers in the literature that
10 are reporting emission factors of butadiene from
11 residential wood combustion types of activities. And so
12 it might be worth at least expanding the statement to call
13 to people's attention with a reference or two to where
14 these emission factors are measured, that would smoke is a
15 potential source of interest and concern.

16 At the bottom of page three, there is -- I guess
17 the first sentence, "Despite it's rapid removal....", and
18 so forth, it says, "...with average air concentrations
19 approximately equal to 0.3 parts per billion...". That's
20 a statement that absolutely begs for a reference. And I
21 think -- I think it's too high.

22 The data that are presented elsewhere wouldn't
23 support that high of a level, so maybe that -- even that
24 statement should be struck and we should just end with --
25 that it's present in low concentrations in cities and

1 large suburban areas.

2 Yeah, that maybe, but some show some higher
3 numbers. That brings me to what is --

4 DR. SIEGEL: That could have -- I'm sorry to
5 interrupt. This is Dave Siegel. That could have been the
6 average we got from the Bay Area sampling that was an
7 order of magnitude off.

8 CHAIRPERSON FROINES: Who's speaking?

9 DR. SIEGEL: This is Dave Siegel.

10 PANEL MEMBER NAZAROFF: Well, it's talk about --
11 let me talk about for a minute Tables 1A and 1B, because I
12 have major problems with the evidence that's -- or the way
13 the evidence that is presented is interpreted here.

14 And the real concern derives from the fact that
15 90 percent almost -- 85 to 90 percent of the samples, on
16 average, from the two studies are below the method
17 detection limit or minimum detection limit. And so under
18 those conditions, the ability to infer what the average
19 concentration was is -- would take a much higher level of
20 sophisticated analysis and have a very large uncertainty
21 associated with it than what was done here.

22 On Table 1A, it says in footnote that the levels
23 below the method detection or minimum detection limit were
24 recorded at one-half that value. And that's viewed as a
25 very old fashioned not up to modern statistical technique

1 method. And even when it is used, it wouldn't be
2 recommended to be used with such a high proportion of
3 non-detects.

4 And then for Table 1B, which has a separate
5 minimum detection limit, that's four times higher than the
6 case for Table 1A, there's still 85 to 90 percent
7 non-detects in that study, and we're not told how the
8 average concentrations are determined or how the
9 non-detects are handled, but we end up with averages that
10 are below the minimum detection limit. So there's -- and
11 they're below half the minimum detection limit, so there's
12 very likely a different means of handling the non-detects
13 in case -- in Table 1B than in Table 1A.

14 I have, what I would suggest is, at least a
15 moderately strong recommendation for how to deal with
16 this, because you don't really need to support what this
17 document is about. You don't need to be reporting
18 community by community average concentrations. So I would
19 recommend something like this, strike the two tables and
20 replace them with about one sentence each or maybe two
21 sentences each.

22 The sentence for the Bay Area would say something
23 like N samples were collected over some period of time
24 from M different communities, and the -- with a method
25 detection or minimum detection limit of 0.5 -- sorry, 0.05

1 parts per billion. Twelve percent on average of the
2 measurements were above the detection limit, the community
3 level maximum values were in the range of 0.05 to 0.26
4 parts per billion, and do something similar for the South
5 Coast Air Basin.

6 So, you know, there -- you have a different
7 number of samples collected and a different number of
8 communities, a different detection limit, report what the
9 maximum values were observed by community, and say that
10 only 10 to 15 percent were above the detection limit.

11 The last few comments I have are minor by
12 comparison, but let's just hit those. At the bottom of
13 page five is a just a weird phrasing. It says, the mean
14 outdoor concentrations of butadiene were whatever they
15 were versus in-home ambient concentrations. And although
16 ambient is a vague term anyway, and it's used in a weird
17 way, usually it's used to mean outdoors. So one wouldn't
18 generally say in-home ambient. I would just strike the
19 word ambient at that point.

20 On the top of page six in reference to the
21 Marshall et al. paper, I think it's important to note
22 there that the simulation -- this is a simulation study,
23 and it was on modeled concentrations not measured
24 concentrations. Those modeled concentrations I think it's
25 important to attach a date here, because the levels have

1 tended downward over time. So that study considered
2 modeling results for the period April '98 through March
3 '99.

4 PANEL MEMBER HAMMOND: Based on sampling from
5 what year?

6 PANEL MEMBER NAZAROFF: Not that's for the '88 --
7 it's '98 to '99 --

8 PANEL MEMBER HAMMOND: Data.

9 PANEL MEMBER NAZAROFF: -- emissions data.

10 PANEL MEMBER HAMMOND: Yeah.

11 PANEL MEMBER NAZAROFF: A simulation of '98 to
12 '99. So the exposure levels would be what we believed to
13 have occurred during that year, not representative of
14 modern -- and then finally, although this is an easy thing
15 to fix, I'm unhappy to see an error like this.

16 In the middle of page six for two paragraphs,
17 there are a series of concentration levels reported in
18 mass units, micrograms per cubic meter, and then in mole
19 fraction units. And I count six instances where parts per
20 million, or ppm, is given, and it should be parts per
21 billion. That's a bigger error.

22 That's the end of my comments.

23 CHAIRPERSON FROINES: Are there comments on
24 Bill's comments?

25 PANEL MEMBER ARAUJO: My only comment is about

1 the same point that I mentioned about the solubility. I
2 think that all your points are very well taken, Bill. On
3 the other hand, I have to say that this is how it's shown
4 in the modeling chemistry table. I was searching on
5 various sources, and in all of them -- so they usually --
6 they'd show the solubility in the water. They do add that
7 it's at 20 degrees, and that's why I mentioned that this
8 would be added.

9 Your point about a one atmosphere, I think that
10 it's -- they don't usually mention it in the table.
11 Interestingly, they do say it is highly dissoluble in
12 ethanol, ether, acetone, benzene and organic solvents, but
13 they don't mention the solubility. And I was searching
14 for the solubility in any of these organic solvents, and I
15 couldn't find it. I don't know if you know it.

16 But given maybe the confusion that it could
17 create, maybe the easiest you're saying which is just to
18 strike it, I mean -- and just to delete it, the number.

19 CHAIRPERSON FROINES: Bill.

20 PANEL MEMBER NAZAROFF: Well, that was my
21 suggestion anyway, just to strike the number in
22 parentheses, and in -- I guess at this table, I mean I
23 look to Kathy, because she knows more environmental
24 chemistry than I do, but -- I didn't get a clear signal
25 from her, but I think the clear signal I guess to the

1 point that there is one is that it's not terribly
2 important one way or the other.

3 PANEL MEMBER HAMMOND: Right, I agree.

4 CHAIRPERSON FROINES: Kathy, you want to go ahead
5 since your name came up recently.

6 PANEL MEMBER HAMMOND: I'm sorry. I have no
7 comments. Thanks.

8 CHAIRPERSON FROINES: Stan, I know you made
9 comments to OEHHA, and so you -- would you want to go
10 next?

11 PANEL MEMBER GLANTZ: Sure. I gave OEHHA some
12 comments. They revised the report. None of them were
13 major. And, in fact, the version that Andy emailed around
14 had the corrections in them, which were fine.

15 In just looking at it now, I had one other very
16 trivial suggestion. And that is sometimes like if you
17 look at the acute REL you presented in milligrams per
18 cubic meter, and everything else is micrograms. And I
19 just found that a little confusing. And I think since
20 almost everything in the report is in micrograms, I would
21 just, instead of saying 0.66 milligrams, I'd say 660
22 micrograms.

23 Just use consistent units throughout, because it
24 bouncing back and forth was a little bit confusing. But
25 other than that, I think it's -- as you know, I missed the

1 last meeting, so I kind of read this fresh. And as I was
2 reading through it and thinking of comments, as I kept
3 reading, I found they were answered. So that was
4 reassuring.

5 CHAIRPERSON FROINES: That's it?

6 PANEL MEMBER GLANTZ: That's it. I think it's a
7 nice report.

8 CHAIRPERSON FROINES: So I think I'm missing so
9 far Beate and Paul. Is that -- am I missing somebody
10 else?

11 PANEL MEMBER BLANC: No.

12 CHAIRPERSON FROINES: No.

13 Paul.

14 PANEL MEMBER BLANC: Well, I also want to echo
15 what people have said about the edits being responsive to
16 the feedback, and it's nice to see such an aggressive
17 approach to updating the references and being more
18 diligent. I want to walk through a question and make sure
19 I understand it correctly. And it's a bit more -- forgive
20 me if it's a bit too basic.

21 But in the metabolism of this particular product
22 and material, the -- if I understand the text correctly in
23 reference to the key figure on the metabolic pathways, the
24 humans tend to go down the butenediol pathway more, and
25 mice tend to go down a pathway which leads away from the

1 butenediol, ultimately yielding the M2 instead of the M1
2 conjugation product, is that correct?

3 I mean, that is what you meant to understand from
4 the text?

5 DR. BROWN: Yes.

6 PANEL MEMBER BLANC: And it is believed
7 biologically that the butenediol metabolite pathway is
8 probably more relevant for ovarian depletion?

9 DR. BROWN: This is Joe Brown speaking. I'm not
10 sure that that can be said with a lot of confidence. I
11 think the only thing that comes through, in my reading, is
12 that the diepoxy metabolite seems to be a key player in
13 the ovarian atrophy.

14 PANEL MEMBER BLANC: And which one is the
15 diepoxy?

16 DR. BROWN: It's the one in the upper left-hand
17 corner.

18 CHAIRPERSON FROINES: 1,2:3,4-diepoxybutane.

19 PANEL MEMBER BLANC: Okay.

20 DR. BROWN: The diepoxybutane.

21 PANEL MEMBER BLANC: Okay. And does the
22 diepoxybutane, which doesn't go to either one of those two
23 metabolites, what is the intraspecies difference in that
24 pathway?

25 DR. BROWN: Well, I think in all cases the mouse

1 has much more -- is a much more active metabolizer than
2 humans or rats.

3 PANEL MEMBER BLANC: You mean it's excreted
4 unchanged by humans?

5 DR. BROWN: No, I wouldn't say it's excreted
6 unchanged, but more of the diepoxybutane is produced, I
7 believe.

8 PANEL MEMBER BLANC: Is it said somewhere in the
9 document that that's the case?

10 DR. BROWN: I think it is, yeah.

11 "Mice form the initial oxidative metabolite,
12 epoxybutene, approximately 6 to 8 times faster than rats
13 or humans...". That's faster. They're more active the
14 metabolizers, "...and produce a greater proportion of
15 active epoxide metabolites than rats". That's Bond '86.

16 PANEL MEMBER BLANC: So --

17 DR. BROWN: That's been used to explain why the
18 mouse seems to be more sensitive to a lot of the effects
19 of butadiene, because it's a faster producer of these
20 epoxide metabolites, particularly the diepoxy, which has
21 the ability to do cross linking and has probably more
22 damaging effects than any of the model oxides. I mean,
23 that's some of the thinking, anyway.

24 PANEL MEMBER BLANC: And if that's the case, then
25 the pathway that's to the left of the table, the Figure 1,

1 which is all dependent on the diepoxybutene --

2 DR. BROWN: I don't think you can read this chart
3 or this scheme to be exclusive of any particular species.
4 I think all of them --

5 PANEL MEMBER BLANC: No. No, I understand that.

6 DR. BROWN: They all produce everything. It's
7 just a matter of ratios.

8 PANEL MEMBER BLANC: Right. But you make the
9 point that the mouse produces more of the final metabolite
10 M2 and the human more of the M1. I mean, you make that
11 point. Is that not a point you wanted to make?

12 DR. BROWN: It's the point, but I wouldn't say
13 so -- I wouldn't work backwards and say this is the --

14 PANEL MEMBER BLANC: Well, but this other one,
15 which seems to be the one -- the pathway that's more
16 relevant for toxicity, you don't make any comment about
17 it. So is the implication therefore that if you looked at
18 total metabolites, the 1-glutathione,
19 2,3,4-trihydroxybutane metabolite would be more -- I'm not
20 talking about the speed of it. I'm just trying to
21 understand.

22 DR. BROWN: I don't know. I don't know if you
23 can make judgments based on the ratio of metabolites, you
24 know. I keep thinking of arsenic where --

25 CHAIRPERSON FROINES: It's also true that the

1 butenediol is an important pathway toxicologically.

2 DR. BROWN: Yeah.

3 DR. SALMON: This is Andy Salomon here. I mean,
4 there's a lot of things going on here. And you also have
5 to bear in mind the complexity that just because the rate
6 of metabolism by a particular pathway is some factor X
7 greater in say the mouse than in say by comparison to the
8 rat, it doesn't necessarily mean that other important
9 parameters like the area under the curve or the amount
10 metabolized in 24 hours or something like is necessarily
11 different by the same ratio.

12 PANEL MEMBER BLANC: What I hear you saying,
13 Andy, is that there's a lot of uncertainty.

14 DR. SALMON: There's a lot of complexities and
15 uncertainties.

16 PANEL MEMBER BLANC: And how does that translate
17 into the uncertainty factors that you're using?

18 DR. SALMON: Well, we include the appropriate
19 methods dealing with uncertainty, including picking the
20 most sensitive endpoints, the most sensitive species, and
21 allowing for uncertainty factors reflecting --

22 DR. BROWN: We're using toxicokinetic and
23 toxicodynamic uncertainty factors as you look at it.

24 DR. SALMON: I'm flicking through --

25 CHAIRPERSON FROINES: We've got too many people

1 talking.

2 DR. BROWN: Okay.

3 PANEL MEMBER BLANC: Well, my question just to
4 repeat it, if it was unclear, is I hear OEHHA people
5 saying there's quite a bit of uncertainty walking from the
6 complex metabolic pathways from mice to humans, and we try
7 to address that with our uncertainty factors. And those
8 include an interspecies uncertainty factor, which is the
9 one that would be relevant from walking from mice to
10 humans. And we're using a toxicokinetic uncertainty
11 factor of one and a toxicodynamic uncertainty factor of
12 the square root of 10, yielding a value of 3, and not --
13 I'm sorry, of 3 -- yeah, three point something, rather
14 than let's say 10.

15 And is that consistent with where you see the
16 uncertainties and the degree to which you see the
17 uncertainties walking through from mice to humans? And
18 that's quite a different question than just you using a
19 sensitive endpoint and a sensitive effect.

20 So I just want to hear you tell me that
21 you've -- or hear you say to me in a way that I can
22 understand it, why, given what you're saying those
23 uncertainty factors are, in your view, sufficiently
24 conservative.

25 DR. SALMON: Okay. This is Andy Salmon here. I

1 think -- I mean, we've got a situation here where we have
2 quite a bit of information and several tools which allow
3 us to refine the analysis. But on the other hand, we've
4 got some significant uncertainties, including the
5 uncertainty about the exact, you know, critical metabolite
6 mode of action, and so on.

7 So to some extent, it's -- on the one hand we've
8 got quite a bit of information. On the other hand, we've
9 got several uncertainties. So our judgment is that these
10 uncertainty factors are appropriate in comparison to what
11 we normally do. You know, these are probably, in most
12 cases, similar to what we've used in other cases, where we
13 have significant amounts of information, like, for
14 instance, including a relatively good toxicokinetic model
15 of many of the things that are going on, but on the other
16 hand we do have residual uncertainties about the negative.
17 So our judgment is that these are appropriate under the
18 circumstances.

19 CHAIRPERSON FROINES: But, Andy, you sounded like
20 you're making an argument for a more conservative
21 approach.

22 DR. SALMON: I'm saying that we think this is
23 about right. It's somewhat conservative.

24 CHAIRPERSON FROINES: Let me finish.

25 DR. SALMON: Sorry.

1 CHAIRPERSON FROINES: The issue is that this
2 uncertainty factor turns out to be the square root of 10,
3 which is 3. And the arguments that you've made would --
4 especially when you consider the fact that there's
5 evidence -- there's enormous data gaps with neurotoxicity
6 and cardiovascular disease. And so when we talk about
7 uncertainty, we need to look at the overall uncertainty
8 and it's not clear to me that 3 is sufficiently
9 conservative.

10 DR. SALMON: Well, as I say, we considered the
11 range of evidence -- admittedly, there are a number of
12 different endpoints, which may -- you know, which may
13 appear as toxicological endpoints of concern for
14 butadiene. But we do believe that we've picked the most
15 sensitive ones, so that we -- we're dealing -- to some
16 extent, we're dealing with that uncertainty by making that
17 choice.

18 We're looking at the mice, which are the most
19 sensitive species, which again deals, to some extent, with
20 our uncertainty about the interspecies differences. But,
21 on the other hand, we do have a number of residual
22 uncertainties. And, as I say, we're dealing here with a
23 cumulative uncertainty factor of 100 to cover inter and
24 intraspecies differences. And we -- our judgment was that
25 that was an appropriate level of uncertainty

1 correction -- or allowance for uncertainty.

2 CHAIRPERSON FROINES: Paul.

3 PANEL MEMBER BLANC: How do you feel this
4 compares with similar chemical determinations that you've
5 faced in terms of uncertainty, where the -- where we
6 actually have perhaps even better understanding of the
7 metabolic differences than it seems that we do in this
8 case, because we don't also understand which
9 metabolites -- we think we believe which metabolites may
10 matter more, at least for the key endpoints that you're
11 using?

12 By the way, I am completely supportive of using
13 the ovarian outcomes. I think people recognize that
14 that's an important area of toxicity generally, and that,
15 in some ways, butadiene is a paradigm environmental
16 toxicant that has reproductive effects based on these
17 ovarian studies in mice, so -- and also, there's this
18 dimer of butadiene, which apparently doesn't occur in
19 nature, is that correct? It's only an industrial
20 byproduct, is that correct?

21 DR. SALMON: I don't know.

22 PANEL MEMBER BLANC: Should we even be --
23 shouldn't be mentioned perhaps in a sentence that -- John,
24 are you familiar with that chemical?

25 CHAIRPERSON FROINES: The dimer?

1 PANEL MEMBER BLANC: Yeah.

2 CHAIRPERSON FROINES: No.

3 PANEL MEMBER BLANC: It's -- first of all, there
4 is an interesting -- the reason I say the comments about
5 the appropriateness of the endpoint is there's a good
6 review on xenobiotic effects on ovarian preantral
7 follicles that's fairly current from 2011. And butadiene
8 is one of the chemicals that they discuss at some length.
9 And they do cite all of the studies that this review
10 cites, which is also reassuring.

11 But when they come to butadiene -- and they do
12 emphasize the butadiene diepoxide. The related chemical
13 that they discuss is 4-vinylcyclohexene, and it's
14 diepoxide metabolite diepoxide. And they state that the
15 dimerization of butadiene forms 4-vinylcyclohexene. And
16 they cite some papers on that.

17 What I don't know is if that's only an industrial
18 process that would do that or if there are other
19 situations in which it might dimerize.

20 And it's probably worth alluding to it, just so
21 that it's clear that we're aware that --

22 DR. SALMON: We should follow that up.

23 PANEL MEMBER BLANC: Right. Yes. But I guess I
24 am not entirely convinced, but I guess if you want to
25 convince me that your approach to the interspecies

1 uncertainty, because I think that's where we're really --
2 what we're really talking about is appropriate, then I
3 think there needs to be some text that more explicitly
4 justifies it rather than simply us having a discussion
5 around the table.

6 DR. BROWN: This is Joe Brown. There is some
7 text I think on page 38 comparing our work with other
8 agencies.

9 PANEL MEMBER BLANC: Yes. And you say the EPA
10 is --

11 DR. BROWN: So there's some -- there's a universe
12 there --

13 PANEL MEMBER BLANC: -- the EPA was more --

14 DR. BROWN: Was more, but over --

15 PANEL MEMBER BLANC: -- conservative. That's the
16 only time in my --

17 DR. BROWN: Well, it's an older analysis.

18 PANEL MEMBER BLANC: Well, I know, but it's the
19 only time in my memory that the EPA has actually been more
20 conservative in an uncertainty factor. That's one of the
21 sort of things that makes me slightly uneasy. And when
22 you say it's because that we have more data, it's not
23 necessarily because we have more data of the sort that
24 let's you reduce the uncertainty in some of these key
25 areas, is it? I mean that's --

1 DR. BROWN: The only difference is we're using a
2 pharmacokinetic model and we're using, you know --

3 PANEL MEMBER BLANC: But how does that help with
4 the interspecies uncertainty?

5 DR. BROWN: Well, it doesn't. It doesn't.

6 DR. SALMON: It allows you to replace the -- you
7 know, the -- to some degree, it allows you to replace
8 extrapolation based purely on uncertainty. The
9 extrapolation based on modeling and model comparisons
10 between species, which is what we are doing here. And, I
11 mean, I think one of the complacencies about butadiene is
12 that we actually have a lot more information about
13 butadiene than we do for most chemicals. You know, this
14 is, in fact, particularly in the area of metabolism, an
15 extremely information-rich chemical. The problem is it's
16 also a very complicated chemical.

17 So we've got a bit of a trade-off between
18 actually, by general standards, knowing a heck of a lot
19 about what goes on with butadiene, but on the other hand
20 having a lot of residual complexities.

21 But, I mean, in many chemicals, we've used, or
22 attempted to use, toxicokinetic models to refine the
23 interspecies extrapolation, but we haven't necessarily had
24 anymore clarity about exactly what the -- you know, the
25 effect of metabolites are and than we do with butadiene.

1 In many cases, we've had to use things like total amount
2 of metabolism or some such parameter.

3 So I think, in this case, clearly it's very
4 complicated. Clearly, we've, by no means, know
5 everything. We do actually also have, by comparison with
6 many other chemicals actually, have quite a bit of
7 information here.

8 PANEL MEMBER BLANC: But isn't most of that
9 relevant to intraspecies uncertainty?

10 DR. SALMON: It's relevant to both. We do use
11 the fact that we know a little bit about rats versus mice,
12 and rats versus humans, and those sorts of comparisons.
13 We factor in the extent to which we understand those
14 comparisons in our estimation of what the likely
15 uncertainty in the overall safety proposal is.

16 PANEL MEMBER BLANC: You know, I don't want to
17 badger people here, so, Mr. Chairman, since we're not
18 around the table, and I can't see other people, I have no
19 idea whether I'm way out in left field on this or not.

20 PANEL MEMBER RITZ: This is Beate. I might have
21 mentioned that before. But since the main outcome is
22 ovarian atrophy, or better the shortening of the
23 reproductive lifecycle in females, I think that's a very
24 large uncertainty. When we're talking about mice, we're
25 talking about months of cycle, and months of exposures.

1 In women, we have, on average, a 36-year cycling. And
2 whether a woman loses her fertility at 40 or 50 is a big
3 difference.

4 So thinking about cumulative effects, they could
5 be much stronger in animals that live longer and have a
6 much longer reproductive life span. And I don't see how
7 any of that comes out here or is taken into account.

8 Maybe, it's impossible, but at least that's
9 another uncertainty factor, right?

10 PANEL MEMBER NAZAROFF: This is Bill. And I
11 think Kathy and I are enjoying the conversation, so you
12 needn't feel that you're troubling us, Paul, for raising
13 the point. I think it's an important one.

14 PANEL MEMBER HAMMOND: Yeah, and I agree. I
15 think that both the other points, and Paul's points, are
16 important in terms of the very specific -- rather than the
17 general sense of going from animals to humans, but very
18 specific ways in which there's some differences, which we
19 may not be able to capture, but maybe we can at least
20 acknowledge that we don't know how to capture it.

21 PANEL MEMBER GLANTZ: Well, this is Stan. I
22 mean, just having been listening, I mean, are you saying
23 that the uncertainty factor should be put back to 10 from
24 the square root of 10? The one dealing with the ovarian
25 atrophy. I guess you used that in two of them.

1 I mean, when I read this -- and, I mean, I have
2 to say that I, you know, I had to take some of the
3 toxicology at face value. I was looking at this more
4 from, you know, the point of view of my area of expertise,
5 which is statistics.

6 But it looked to -- it seemed to me that just
7 reading it that the correction -- the uncertainty numbers
8 they used seemed consistent with sort of the general
9 practice in other documents.

10 Now, if it turns out that the -- you know, that
11 we should go beyond that because of the issues you're
12 talking about, I mean, I'm willing to go along with that,
13 but because I don't really -- it's not an area I feel
14 technically competent to speak to. But when I read this,
15 you know, sitting there myself, I thought what they did
16 seemed pretty typical of the way these things have been
17 handled in other documents we've reviewed.

18 I mean, I think the question coming down -- you
19 know, from listening to the discussion, I think the
20 question for the Panel is, given the issues that Paul has
21 raised and what the other people have been saying, are we
22 saying that the square root of 3 -- or pardon me, the
23 square root of 10 toxicodynamic correction -- I guess
24 that's the one we're talking about -- is too small and you
25 should take away the square root sign. I mean that's what

1 it comes down to.

2 I mean -- and so -- I mean, what do you guys
3 think? I mean, I don't have an opinion about that, but it
4 seems that's the question.

5 CHAIRPERSON FROINES: I think that you're right
6 on target, Stan. And I would go back to Beate and ask her
7 if she thought -- and we are talking about the
8 toxicodynamic factor. And so is the implication of what
9 she said sufficient to argue for taking away the square
10 root sign?

11 PANEL MEMBER RITZ: Maybe the only other thing I
12 have to add is that the ovaries are cells that we're born
13 with. They do not reproduce. So every insult is really
14 cumulative and, in essence, this happens when not enough
15 ovarian cells are there any more. So it's relevant in
16 that sense as well.

17 PANEL MEMBER BLANC: I guess I have a question
18 for Melanie if she's on the line still.

19 DR. MARTY: I'm still here.

20 PANEL MEMBER BLANC: So Melanie, taking a step
21 back and taking the long view. As you look over the life
22 span of these discussions and the evolution of our
23 uncertainty -- approaches to uncertainty, do you see that
24 there is a precedence here -- either a precedence that
25 we're making in the wrong direction were we to be more

1 conservative in the interspecies toxicodynamic uncertainty
2 factor here or is there precedence from some earlier
3 example that you can think of where we were dealing with
4 endpoints that are a bit more tricky like this?

5 So this -- to me, this isn't the same as talking
6 about nasal atrophy in rats or some of the other endpoints
7 that we end up using. This is starting to get more like
8 the developmental neurotoxic outcomes that are so
9 troubling, for example, in terms of interspecies
10 uncertainty or data uncertainty.

11 DR. MARTY: Well, I'm not really sure how to
12 answer the question. I mean, a couple of thoughts are
13 that humans, yes, live longer than rodents, and there are
14 other tissues that we've looked at as toxicological
15 endpoints, where we haven't said, oh, but we live longer
16 and therefore we should have an additional uncertainty
17 factor, because, you know, frankly, I don't think that's
18 that relevant. We live longer, but the rodents also age
19 faster. So it's -- you have to kind of look at the life
20 times as equivalent. I think we --

21 PANEL MEMBER RITZ: Actually -- this is Beate --
22 I disagree coming from the neurodegeneration field. The
23 mice live two years. And all of my friends who do mouse
24 models of Parkinson's know that the cells in the brain of
25 the mice never will die.

1 However, they will show atrophy or they will show
2 dysfunction, if you hit them hard enough. And only if
3 you, you know, use a very high load of neurotoxins, they
4 will really die, but the brain of the mouse can never be
5 compared to the brain of the human, because the cells just
6 don't age enough.

7 And the dopamine cells are actually the only
8 other cell type in the body that's just like the ovaries,
9 meaning we're born with a number of cells and those cells
10 do not divide. They just keep dying. So it's really a
11 cumulative effect for these two cell types. And I think
12 it has to be treated different from other tissues.

13 DR. MARTY: Okay. Well, we have not done that in
14 the past. That was my point. Then in terms of the
15 kinetics -- in fact, we started out the discussion with
16 the kinetics, talking about differences in metabolism and
17 the reason that we had initially chosen a UFA sub K of one
18 is because it appears from data in a number of studies
19 that the mice metabolize to the diepoxide considerably
20 faster than primates in humans and rats as well.

21 So we thought with the kinetic, the PBPK model
22 and the information that mice metabolize faster that we
23 would be okay with the toxicokinetic adjustment of one for
24 the interspecies factor.

25 PANEL MEMBER BLANC: But we just heard earlier

1 that we don't know what that means about the area under
2 the curve. Are you saying that the area under the curve
3 would -- or my interpretation to that comment was
4 therefore the area under the curve might be more relevant
5 to the toxicodynamics of it, rather than the
6 toxicokinetics. Is that, strictly speaking, not true?
7 And how, if we don't know the area under the curve, did
8 the toxicokinetic --

9 DR. SALMON: Well, actually we do know the area
10 under the curve, because that's what we're modeling in the
11 PBPK model. I'm just pointing out that just because
12 you've got a certain ratio for the rates, doesn't mean
13 that it's the same ratio as to the area of the curve, but
14 we, in fact, do have those parameters as estimated by the
15 model in this case.

16 This is one of the areas where, you know, it is a
17 lot more complicated than the average case, but we have
18 more information as well, so -- but this is, I think --
19 the question about, you know, how -- do we need to make
20 some additional allowance for the unique toxicodynamic
21 properties of this endpoint? That's a --

22 PANEL MEMBER BLANC: Right, I understand.

23 DR. MARTY: Yeah, so I don't -- you know, I
24 think, Paul, you asked if I thought we would be going in a
25 wrong or funny direction if we increased the toxicodynamic

1 uncertainty factor. And I can't say that we would be
2 going in a wrong direction.

3 PANEL MEMBER BLANC: Okay.

4 DR. SALMON: On the other hand, some people,
5 including I think that review -- that meta-analysis that
6 you cited in your update, didn't they say that they --
7 they were arguing in the opposite direction.

8 DR. BROWN: Yes. This is Joe Brown. Yes, they
9 argued in the opposite direction coming up with values
10 that were very much higher than what we're talking about
11 here.

12 CHAIRPERSON FROINES: I'm not clear on who you're
13 referring to.

14 DR. BROWN: The Kirman and Grant paper that I
15 discuss and that's discussed toward the end of the
16 document.

17 PANEL MEMBER BLANC: Right.

18 DR. BROWN: This was the paper that was brought
19 to us right before the last meeting in the fall.

20 PANEL MEMBER BLANC: The meta-analysis of the
21 pool rodent data.

22 DR. BROWN: The meta-analysis, yeah. And their
23 conclusions are that you could tolerate much higher
24 butadiene exposures based on their analysis, than what
25 we're talked about here.

1 DR. SALMON: We don't agree.

2 DR. BROWN: We don't agree with that --

3 DR. SALMON. I'm just pointing out --

4 DR. BROWN: -- for another reason.

5 DR. SALMON: -- the arguments in both directions.

6 DR. BROWN: Another argument. And we could not
7 use their -- we don't buy their assumption, and we could
8 not use their assessment as it is. What we did say was
9 they had an interesting methodology --

10 PANEL MEMBER BLANC: Which you then --

11 DR. BROWN: -- which we then apply to our data
12 set to see what the difference was.

13 PANEL MEMBER BLANC: As the sort of mind
14 experiment.

15 DR. BROWN: In the mind experiment.

16 PANEL MEMBER BLANC: Right, which I thought was
17 fine and, you know, was helpful to see.

18 DR. BROWN: But we could not tolerate their
19 assumptions. I mean, they assume that all species behave
20 the same way, and clearly, rats don't.

21 PANEL MEMBER BLANC: Well, you know, I mean, I
22 apologize for bringing this up in this way, because it
23 does a bit throw a monkey wrench in, depending -- I mean,
24 this -- it's a kind of a major thing, and it's not easy to
25 say, well, you know, we're going to accept the document,

1 but the final number on the chronic exposure may change by
2 a factor of, you know, three, depending on what you guys
3 decide. So I don't know --

4 DR. BROWN: Yeah. If we make it one part per
5 million instead of three parts per million.

6 PANEL MEMBER BLANC: Right. Yeah. So I don't
7 know -- I don't know what to say.

8 PANEL MEMBER GLANTZ: Well, I think -- and this
9 is Stan. I think -- again, I can't speak to the
10 toxicology here, but I mean we've had a very detailed
11 discussion. I mean, if people think that we should adjust
12 the uncertainty factor, something I will abstain on, but I
13 think that's the question.

14 And there's been a pretty robust discussion, and
15 I mean maybe we should ask the people who know the
16 toxicology do you think -- and, I mean, no one has said
17 that taking away the square root sign would be like a
18 really undefensible, horrible thing to do.

19 DR. BROWN: We'd have to support it. I mean, we
20 can write something, but we have to support it.

21 PANEL MEMBER GLANTZ: Well, I understand, but I
22 mean, we've had a pretty robust discussion up to this
23 point. And I think the issues about, you know, the
24 biology of ovaries and, you know, the things that have
25 been discussed -- I mean, again, if I was just reading

1 this as a reader, I think that would be good -- I thought
2 those were compelling arguments for saying we should take
3 away the square root.

4 But on the other hand, you know, you could argue
5 that, well, that's all true, but it's not over the line.
6 So I guess what I would do to just sort of call the
7 question, and ask the people who know the biology what
8 they think we ought to do.

9 CHAIRPERSON FROINES: Well, I think, Stan -- I
10 think we're in a place where everybody recognizes. We're
11 in a place where, one, we want to move ahead, and I think
12 everybody shares that view.

13 PANEL MEMBER ARAUJO: Can I -- this is Araujo.
14 This is clearly not my area of expertise, but one question
15 that I would like to pose to the people that are more
16 familiar is -- and I think I understand the different
17 perspectives and arguments, is based on the literature
18 this is published and based on everything that's been
19 reported, what is really the number that are -- have been
20 used in other cases where there is an ovarian atrophy?

21 And I'm just doing some searches on the Internet.
22 And there is one document that I found on the
23 4-vinylcyclohexene published in development support
24 documents 2011 from the CCEQ, I found that when they're
25 talking about an acute and -- so they use a intraspecies

1 uncertainty factor of 10 and an interspecies uncertainty
2 factor of 3. When they talk about the chronic, so they
3 use like an intraspecies uncertainty factor of 10 and
4 interspecies of 3, but for lethargy, tremor, and mortality
5 and intraspecies UA of one for ovarian atrophy.

6 So, again, I don't know if that decision -- the
7 issues are looking at the same tables that I'm trying to
8 interpret in this rush for a few seconds of review.

9 Yes, you're right, Beate. So in the subchronic
10 to chronic uncertainty factors, they used a factor of 10
11 for ovarian atrophy, which is what we're talking about
12 here, right --

13 PANEL MEMBER RITZ: Um-hmm.

14 PANEL MEMBER ARAUJO: -- whether to use 3 or 10.
15 So do people know about for all the compounds and --

16 PANEL MEMBER BLANC: Well, the compound you're
17 speaking about is the dimer of butadiene, just so you
18 know.

19 PANEL MEMBER ARAUJO: Oh, okay.

20 PANEL MEMBER BLANC: So that would be certainly
21 an analogous chemical in which to invoke that degree of
22 uncertainty. Although, I'm -- there likely to be less
23 data there, but it seems what I've heard mostly is that
24 the data -- the richness of the data set is most
25 applicable to the toxicokinetic piece of it. And where

1 we're starting to get on thinner ice is with the
2 toxicodynamic interspecies part.

3 I guess I would say if -- to be consistent with
4 how I've approached these things in our previous
5 discussions or in previous comparable situations, not
6 through this Committee, I would have to say that I would
7 favor using a value of 10 and not a value of the square
8 root of 10. That's my own personal sense. I would love
9 to hear the opinion of our Chair, however, on this matter.

10 CHAIRPERSON FROINES: Paul, are you suggesting
11 that -- you're discussing using the square root of 10 in
12 terms of the toxicodynamic factor across interspecies?

13 PANEL MEMBER BLANC: I'm saying that where we
14 currently use the square root of 10 that it should be 10
15 instead.

16 CHAIRPERSON FROINES: For intraspecies.

17 PANEL MEMBER BLANC: Interspecies.

18 CHAIRPERSON FROINES: That's what I meant to say.
19 I meant to say inter.

20 PANEL MEMBER BLANC: Yeah.

21 CHAIRPERSON FROINES: Well, I think, at this
22 point, it seems to me that one of the key issues is what
23 Beate has told us about ovarian cells versus -- in terms
24 of their relationship to neurologic cells, for example.
25 And that if I take what she said to imply what that should

1 mean, I would tend to reinforce what you just said. And I
2 think Beate would as well, but I'm not sure.

3 PANEL MEMBER RITZ: No, I do. I do.

4 PANEL MEMBER GLANTZ: Well, I guess -- this is
5 Stan. So is there anybody who would disagree with
6 changing it to 10 from the square root of 10?

7 PANEL MEMBER NAZAROFF: I don't that I -- this is
8 Bill. I don't know if I want to reach the point of saying
9 that I disagree, but I do have a -- I feel a reluctance
10 for the Committee -- I mean, I only have the three years
11 of experience serving, and I don't know what the longer
12 history looks like.

13 It feels kind of abrupt to have the Committee
14 making a conclusion on a point like this, rather than
15 referring the matter back to OEHHA. I guess the Committee
16 could ultimately -- you know, we have the power to do it,
17 but it doesn't feel like it's a sufficiently well thought
18 out or well reasoned or well researched basis to come to
19 such a conclusion on the basis of something that was just
20 brought up in the same meeting in which we're making that
21 decision.

22 CHAIRPERSON FROINES: Well, Bill. This is John.
23 I agree with you a thousand percent. What I'm concerned
24 about is that we're talking about a fairly significant
25 change, when we're sitting here in different rooms across

1 the State of California, and the sound isn't great, and
2 the whole dynamic is very difficult. And so the
3 deliberative process is, I think, very thoughtful on the
4 one hand, but I also think it's very difficult on the
5 other. And so we need an approach that can address the
6 fact that the circumstances are not best for a significant
7 decision.

8 PANEL MEMBER GLANTZ: Well, this is Stan. I
9 don't agree with that. This has been a sensible a
10 discussion as I've ever sat through. It is kind of a drag
11 to be on the phone, but I think that the discussion has
12 been quite substantive. Andy wanted to say something.

13 DR. SALMON: Well, I was just going to say not
14 entirely true to say that this is a discussion which is
15 sort of just suddenly come up here. I wanted to -- you
16 know, I wanted to emphasize that OEHHA has been thinking
17 about these sorts of issues for some considerable amount
18 of time, and we have, in fact, you know, been following
19 literature, including, you know, developing our thoughts
20 in terms of our response to the current meta-analysis.

21 So, you know, this isn't a debate with which we
22 are altogether unfamiliar. On the contrary, it's one that
23 we've actually been, you know, dealing with internally for
24 some extended period of time ourselves.

25 PANEL MEMBER GLANTZ: Well, then let me ask this

1 question. In light of this discussion that we've had, I
2 mean, do you think it's acting precipitously to make this
3 change?

4 DR. SALMON: No, I don't. I think it's something
5 which we -- you know, we could, and in fact have,
6 considered, you know, during our discussions in developing
7 the report. And, you know, with the support of the Panel
8 and their expertise behind a recommendation, I don't think
9 we would have the problem taking that recommendation at
10 all. Quite the contrary, you know, we have on numerous
11 occasions assembled the argument that would be made to
12 support that.

13 PANEL MEMBER BLANC: Well, I think what we're --
14 you know, also I think we're very lucky in that, Beate,
15 you're one of the primary reviewers, correct?

16 PANEL MEMBER RITZ: Am I? Maybe.

17 CHAIRPERSON FROINES: No. No.

18 CHAIRPERSON FROINES: Alan and --

19 PANEL MEMBER BLANC: That's right. That's right.
20 I'm sorry.

21 So what I would suggest is that as a sort of
22 hybrid approach to this, that we tentatively accept the
23 document presuming that the -- not only will the
24 uncertainty factor be 10 instead of the square root of 10
25 for interspecies toxicodynamics, but that also there will

1 be text -- new text in the document that will explicitly
2 discuss and underpin that factor. And that Beate can
3 serve, in a focused way, as the primary reviewer for that
4 new text, and work with OEHHA in that regard. And that
5 we'll -- that our approval is contingent on those two
6 things happening along with the minor textual changes that
7 have already been discussed in the record.

8 CHAIRPERSON FROINES: Well, I think that's a very
9 good suggestion. Let's get people's -- let's get the
10 views of the Panel on Paul's suggestion before we sort of
11 make it a vote.

12 PANEL MEMBER GLANTZ: Okay. Well, this is Stan.
13 I just wanted to say that as Paul was talking the two
14 OEHHA people in the room were nodding their head in
15 agreement. So I'm compensating for the fact that we're
16 not all in the same room. I'm being the verbal
17 television. But they were smiling and nodding their
18 heads.

19 CHAIRPERSON FROINES: I think that they should
20 speak for themselves, Stan.

21 PANEL MEMBER GLANTZ: Well, do you want to speak?

22 CHAIRPERSON FROINES: No disrespect intended.

23 DR. SALMON: Yeah. This is Andy Salmon here.
24 I'm certainly very willing and keen to proceed according
25 to those methods according to your instructions

1 definitely.

2 CHAIRPERSON FROINES: Bill, how do you feel,
3 because you're the person who raised the negative?

4 PANEL MEMBER NAZAROFF: Yeah. I'm okay with
5 where we are. I mean, I can go along with the proposal as
6 Paul outlined.

7 CHAIRPERSON FROINES: Jesús.

8 PANEL MEMBER ARAUJO: I was actually going to go
9 with you, Bill, before you changed. No. No. Bill's
10 original point, which is that to have a directly important
11 change in the document and with not enough data or
12 supported on what has been done or reported before, may
13 look like a little bit dangerous.

14 On the other hand, I also agree with him. We
15 have only been on the Committee for three years and the
16 people have been a lot longer have more experience in how
17 to handle these things.

18 One additional suggestion would be how about
19 approving the document as Paul is suggesting with a
20 uncertainty factor of 10 having the comments or the
21 statement that he also mentioned, but what about also
22 having OEHHA do a search into all the compounds that
23 induce an ovary atrophy, and how is that this uncertainty
24 factor has been handled, and so we could make a final
25 decision based on what has been done also before in a

1 conscientious manner.

2 CHAIRPERSON FROINES: Well, I think --

3 PANEL MEMBER GLANTZ: This is just --

4 CHAIRPERSON FROINES: Can I just take the
5 prerogative of the Chair. I disagree strongly with Jesús.
6 And I disagree, because I think that one of the concerns
7 that we have as a Panel, over 30 years of the Panel
8 meeting, is that we want to not drag chemicals on forever
9 and a day, and that we try and move to closure. And doing
10 what Jesús is suggesting, while very good, is going to
11 slow this process down precipitously.

12 And I think that we would be better -- the time
13 would be better spent -- or not saying it would be better
14 spent. I'm sorry. I think that Paul's suggestion is a
15 reasonable one, and I would argue that we should not defer
16 for a lengthy investigation that is really a question of a
17 factor of three.

18 PANEL MEMBER GLANTZ: You know, the other thing.
19 This is Stan. I mean having been on the Panel longer than
20 three years, forever -- almost. Not quite as long as
21 John. I mean, these are the kind of discussions that we
22 do have, and we're here to deliberate, and make decisions.

23 And, I mean, I think that the discussion here has
24 been as substantive as I've ever heard. And, you know,
25 I -- again, I would defer to people who know the biology

1 better. But the fact -- I mean it sounds, from what the
2 OEHHA people say, that this was something in their
3 discussions, in terms of preparing the document that could
4 have gone either way.

5 And I think that good arguments have been raised
6 for being more conservative. And so I think we should
7 just move forward. And if -- in the future, I mean, there
8 is a process, just for the people who haven't been on the
9 Committee forever, where, if somebody, industry or
10 someone, things there's an error in one of these documents
11 or that there's new information, there is a process for,
12 you know, bringing it back and getting it reconsidered.

13 PANEL MEMBER BLANC: Paul Blanc here. Jesús,
14 also I want to say that my intention in the process I'm
15 outlining, which is that we approve the document
16 contingent on the change in the uncertainty factor and
17 supporting text, leaves open the possibility for OEHHA, as
18 they develop that supporting text, if they feel that they
19 can't draft such text that is satisfying to them
20 scientifically, then they would have to come back to us
21 and say we can't meet the contingency of your approval.

22 And I think that leaves them the out that is
23 appropriate, and also means that -- and I'm sure that in
24 developing that text, they are going to look specifically,
25 I think, at what's out there, which isn't going to be very

1 much. But to the extent that there has been some work on
2 the dimer of butadiene, I think that's very relevant,
3 which you were able to find easily.

4 Jesús, does that answer your qualms?

5 PANEL MEMBER ARAUJO: Sure. Yes.

6 CHAIRPERSON FROINES: Paul, at this point, what
7 I'd like to do is to move us to a motion, and there can be
8 discussion after the motion, but let's have a motion on
9 the floor, so we can move forward.

10 PANEL MEMBER BLANC: I move that we accept the
11 document contingent -- that we accept the document
12 contingent on the major change of the use of an
13 interspecies toxicodynamic uncertainty factor of 10
14 instead of the square root of 10, that there be supporting
15 text explicating that decision, and the other minor
16 textual changes that this record reflect. That's my
17 motion.

18 PANEL MEMBER GLANTZ: I'll second it.

19 PANEL MEMBER HAMMOND: This is Kathy. May I
20 speak to the motion?

21 CHAIRPERSON FROINES: Please.

22 PANEL MEMBER HAMMOND: Having been on the
23 Committee an intermediate time, I have an intermediate
24 position on this, I guess.

25 I am strongly moved by Paul and Beate's points.

1 I think that they're compelling points. And, to me, it
2 brings me back to one of the moments that we think about
3 under what conditions do we use the factor of 10 and under
4 which one is the square root of 10. And I know OEHHA has
5 prepared documents on that very point, that that's in
6 those documents that they've given us.

7 And I guess I would like to -- I do think that
8 there -- I think one needs to be able to justify going to
9 the square root of 10. And in the absence of really
10 strong data, I think one should use 10. The square root
11 of 10 should be used when you can say there's less
12 uncertainty than normal. I think that's the way that
13 stands. So, I guess, I would like to, if anyone at OEHHA
14 feels they can talk to the circumstances under which 10 or
15 the square root of 10 should be used, that would be
16 helpful to me, because I do think that this is a major
17 change that hasn't been announced to the public or been on
18 the website and all of that. So to make that change at
19 this point, I would like to know that this fits within the
20 realm of what's in the parameters OEHHA has laid out.

21 DR. SALMON: This is Andy Salmon here. The
22 technical support document in question recommends the
23 square root of 10 is the default value for this
24 uncertainty factor. However, it very clearly lays out a
25 number of specific instances where that would be regarded

1 as insufficient, and that a larger value should be used.

2 And it also, in several places, makes the point,
3 that it's a matter for the individual expert judgment
4 given the -- you know, given the evidence for the chemical
5 being considered. And the use of the default is something
6 which is not automatic, and, you know, always done whether
7 it's justified or not.

8 On the contrary, it's pointed out that where more
9 chemical-specific information is available, that needs to
10 be considered in evaluating whether the default is -- the
11 recommended default is sufficient or whether or not a
12 value should be chosen.

13 That's how it's laid out in technical support.

14 PANEL MEMBER HAMMOND: So following along that
15 lines, what I think I'm hearing then is that although the
16 default is a square root of 10, and correct me if I'm
17 hearing you wrong Andy --

18 DR. SALMON: No, that's right.

19 DR. BROWN: That's right.

20 PANEL MEMBER HAMMOND: -- but that some strong
21 default, that it doesn't take a huge amount of evidence to
22 move it back to 10.

23 DR. SALMON: It takes evidence, but --

24 PANEL MEMBER HAMMOND: Some evidence. And I
25 think that I'm hearing -- you know, the comments I'm

1 hearing are that we have a sense that the different
2 metabolic metabolisms of the compound leading to more
3 potent chemicals give us a pretty strong reason to have an
4 a priori species difference, not just saying there might
5 be something we don't know, we can identify something.

6 DR. SALMON: No, we're not talking about
7 metabolism here. We're talking about the toxicodynamics.

8 PANEL MEMBER HAMMOND: Oh, I thought there was
9 some of it related to more of the dimer was formed.

10 DR. SALMON: The proposal, which Paul has made,
11 relates to the toxicodynamics, and it relates to the point
12 which Beate was making, in particular, about reasons of
13 thinking why impacts on fertility, in general, would be
14 more severe in the case that you made.

15 PANEL MEMBER BLANC: It has to do, Kathy, with
16 the biology of reproduction in humans.

17 PANEL MEMBER HAMMOND: I do understand -- I had
18 thought that your point and Beate's were distinctly
19 different points. I didn't know that there was --

20 PANEL MEMBER BLANC: No, I got my question
21 answered more about the toxicokinetics to my satisfaction.
22 Otherwise, I might be saying we should be doing worse.

23 PANEL MEMBER HAMMOND: I was thinking of both
24 sides.

25 PANEL MEMBER BLANC: Right. Right.

1 PANEL MEMBER HAMMOND: So in that case, given
2 what Andy has said, and then, you know, what Paul just
3 said, I think I would be in favor of this motion. It
4 doesn't sound like it's as out of line as I was concerned
5 it might seem to be.

6 CHAIRPERSON FROINES: Others?

7 Comments from other people?

8 Does somebody want to call the question?

9 PANEL MEMBER GLANTZ: I'll call the question.
10 This is Stan.

11 CHAIRPERSON FROINES: I guess we have to do a
12 voice vote.

13 PANEL MEMBER HAMMOND: Roll call.

14 PANEL MEMBER BLANC: Roll call is what you mean,
15 John.

16 You want to call the names, John.

17 CHAIRPERSON FROINES: Peter, should call the
18 names.

19 PANEL MEMBER BLANC: Peter, you want to call the
20 names?

21 MR. MATHEWS: Sure.

22 UCLA. Jesús Araujo?

23 PANEL MEMBER ARAUJO: Yes.

24 CHAIRPERSON FROINES: He said yes.

25 MR. MATHEWS: Yes.

1 Beate Ritz, UCLA?

2 PANEL MEMBER RITZ: Yes.

3 MR. MATHEWS: Chairman Froines, UCLA?

4 CHAIRPERSON FROINES: Yes.

5 MR. MATHEWS: UC Berkeley, Kathryn Hammond?

6 PANEL MEMBER HAMMOND: Yes.

7 MR. MATHEWS: William Nazaroff?

8 PANEL MEMBER NAZAROFF: Yes.

9 MR. MATHEWS: UC Davis, Alan Buckpitt?

10 PANEL MEMBER BUCKPITT: Yes.

11 MR. MATHEWS: UCSF, Stanton Glantz?

12 PANEL MEMBER GLANTZ: Well, based on the
13 discussion, I'm voting yes.

14 MR. MATHEWS: And lastly, Paul Blanc?

15 PANEL MEMBER BLANC: Yes.

16 CHAIRPERSON FROINES: So it's unanimous.

17 PANEL MEMBER BLANC: John, is there anything else
18 on the agenda or can one of us make a motion to adjourn?

19 CHAIRPERSON FROINES: I think somebody can make a
20 motion to adjourn, with one exception, is that I'd like
21 people to stay on the phone after we break -- after we
22 make the vote, because I think that there's one other item
23 that I would rather prefer not to have on the record.

24 CAL/EPA DEPUTY SECRETARY SOLOMON: Yes. Hi,
25 John. This is Gina Solomon. I was hoping to raise

1 something that may be related to your issue or -- well,
2 actually, I think it could be on the record. It should be
3 the record.

4 CHAIRPERSON FROINES: So what is it?

5 CAL/EPA DEPUTY SECRETARY SOLOMON: I just wanted
6 to update the Committee on the status of various
7 appointments. As you know, the terms of the Committee
8 members are staggered and three people -- three members of
9 the Panel's terms expired on January 1st of this year,
10 which is not a problem, because people continue to serve
11 until reappointed or replaced.

12 So I'm happy to say that both Jesús Araujo and
13 Alan Buckpitt have agreed to serve another term and should
14 be getting their reappointment letters very shortly.

15 Unfortunately, Dr. Bill Nazaroff declined to
16 serve another term. I'm hoping after today's discussion
17 that maybe we could convince him to change his mind. I
18 certainly tried, but he has a lot of other commitments and
19 I totally understand.

20 So we were forced to seek another atmospheric
21 scientist. And that appointment should be announced in
22 the very near future. But I also would like to thank, on
23 behalf of the Secretary, Dr. Nazaroff for his very, very
24 important service on the Panel.

25 CHAIRPERSON FROINES: Okay. I think given that

1 Gina's comments we can proceed to move to close the
2 meeting.

3 PANEL MEMBER GLANTZ: Motion.

4 PANEL MEMBER BLANC: Okay. I'd second Stan's
5 motion to adjourn.

6 CHAIRPERSON FROINES: Any discussion?

7 So, Peter, take the roll.

8 MR. MATHEWS: UCLA, Jesús Araujo?

9 UCLA, Beate Ritz?

10 UCLA, Chairman Froines?

11 CHAIRPERSON FROINES: Wait. We have to get votes
12 from everybody.

13 PANEL MEMBER GLANTZ: Well, why don't you just
14 ask if there's any objection?

15 CHAIRPERSON FROINES: Why don't we just go around
16 and finish this.

17 You're voting to close the meeting.

18 PANEL MEMBER ARAUJO: Oh, yes.

19 PANEL MEMBER RITZ: Same here, Beate.

20 CHAIRPERSON FROINES: Me, yes.

21 MR. MATHEWS: Okay.

22 PANEL MEMBER BLANC: Peter keep going.

23 MR. MATHEWS: UC Berkeley, Kathleen Hammond.

24 PANEL MEMBER HAMMOND: Kathryn Hammond votes yes.

25 MR. MATHEWS: William Nazaroff?

1 PANEL MEMBER NAZAROFF: Yes.

2 MR. MATHEWS: UC Davis, Alan Buckpitt?

3 PANEL MEMBER BUCKPITT: Yes.

4 MR. MATHEWS: UCSF, Stanton Glantz?

5 PANEL MEMBER GLANTZ: Yes.

6 MR. MATHEWS: Paul Blanc?

7 PANEL MEMBER BLANC: Yes.

8 MR. MATHEWS: That's it.

9 PANEL MEMBER BLANC: Meeting is adjourned.

10 CHAIRPERSON FROINES: Okay. I just wanted to
11 give Bill a chance, if we wanted to to say something about
12 his appointment.

13 PANEL MEMBER NAZAROFF: Or my non-appointment.
14 So thank you, John. I do want to express my gratitude for
15 the collegiality that I've experienced with the rest of
16 you on the Committee during these three years of service.
17 I've found it rewarding and it's just a matter of coming
18 to a realization that I can accept working hard. I've
19 done that through my whole career, but I reached the point
20 where I can't do everything that's worthwhile at the
21 quality that I want to give to it, and I have to cut back
22 on some things.

23 And so this is just an area that I felt I
24 couldn't continue to contribute to without it cutting into
25 other things that I'm getting involved in now.

1 CHAIRPERSON FROINES: So we will miss you.
2 You've been a great addition to the Committee.

3 PANEL MEMBER NAZAROFF: Thank you.

4 CHAIRPERSON FROINES: So speaking for the
5 Committee, I would say thank you very much for your
6 efforts.

7 PANEL MEMBER NAZAROFF: Thanks, John.

8 CHAIRPERSON FROINES: We're finished.

9 (Thereupon the California Air Resources Board,
10 Scientific Review Panel adjourned at 5:11 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

C E R T I F I C A T E O F R E P O R T E R

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, and Registered Professional Reporter, do hereby certify:

That I am a disinterested person herein; that the foregoing California Air Resources Board, Scientific Review Panel meeting was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California;

That the said proceedings was taken before me, in shorthand writing, and was thereafter transcribed, under my direction, by computer-assisted transcription.

I further certify that I am not of counsel or attorney for any of the parties to said hearing nor in any way interested in the outcome of said hearing.

IN WITNESS WHEREOF, I have hereunto set my hand this 8th day of April, 2013.

JAMES F. PETERS, CSR, RPR
Certified Shorthand Reporter
License No. 10063

4/9/2013
JPETERS 13:20:31
PM;