

MEETING  
STATE OF CALIFORNIA  
AIR RESOURCES BOARD  
SCIENTIFIC REVIEW PANEL

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY  
SIERRA HEARING ROOM, 2ND FLOOR  
1001 I STREET  
SACRAMENTO, CALIFORNIA

FRIDAY, NOVEMBER 1, 2013

9:33 A.M.

JAMES F. PETERS, CSR, RPR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson

Cort Anastasio, Ph.D.

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Sarjeet S. Gill, Ph.D.

Stanton A. Glantz, Ph.D

S. Katharine Hammond, Ph.D.

Beate R. Ritz, M.D., Ph.D.

REPRESENTING THE CALIFORNIA ENVIRONMENTAL PROTECTION  
AGENCY:

Dr. Gina Solomon, Deputy Secretary, Science and Health

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

Ms. Lynn Terry, Deputy Executive Officer

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. George Alexeeff, Director

Dr. James F. Collins, Staff Toxicologist, Air Toxicology  
and Risk Assessment Section

Dr. Andy Salmon, Senior Toxicologist, Division of  
Scientific Affairs

A P P E A R A N C E S C O N T I N U E D

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. Melanie Marty, Assistant Deputy Director, Division of  
Scientific Affairs

REPRESENTING THE DEPARTMENT OF PESTICIDE REGULATION:

Mr. Brian Leahy, Director

ALSO PRESENT:

Mr. Roger Dickinson, California Assembly Member

Dr. John Froines, University of California, Los Angeles

Mr. Kip Lipper

I N D E X

	PAGE
1. Recognition of service by outgoing member and former Chairman Dr. John R. Froines.	6
2. Consideration of proposed acute, 8-hour, and chronic Reference Exposure Levels for Benzene.	47
3. Consideration of administrative matters.	121
Adjournment	142
Reporter's Certificate	143

## P R O C E E D I N G S

1  
2 CHAIRPERSON KLEINMAN: Good morning. I'd like to  
3 call the meeting to order. So if everybody can take their  
4 seats, we can get ourselves started.

5 DR. FROINES: It's pretty tough to come in here  
6 and not know where to sit.

7 (Laughter.)

8 CHAIRPERSON KLEINMAN: John, you can sit  
9 anywhere.

10 PANEL MEMBER GLANTZ: We can ask him nasty  
11 questions.

12 (Laughter.)

13 CHAIRPERSON KLEINMAN: That's right.

14 DR. FROINES: This is when everybody sees how  
15 this Committee really works

16 CHAIRPERSON KLEINMAN: Good morning, and welcome  
17 to this meeting of the Scientific Review Panel for Toxic  
18 Substances. I'm going to call the meeting to order.

19 My name is Michael Kleinman, and I am the  
20 incoming Chair of the Committee. I was recently appointed  
21 by CalEPA Secretary Mark(sic) Rodriquez, following my  
22 appointment to the Panel by a Assembly Speaker Pérez. And  
23 I'm very pleased to be able to address everybody, and  
24 welcome you to this meeting.

25 I also want to take the opportunity to introduce

1 a new member to the Panel -- another new member, Cort  
2 Anastasio. And I'd like to welcome him. And I'd like to  
3 give Cort just a few minutes to just introduce himself.

4 PANEL MEMBER ANASTASIO: Thanks. So I'm Cort  
5 Anastasio. I'm a professor in land, air, and water  
6 resources.

7 Okay. Apparently, I was not on, so I'll start  
8 again. So my name is Cort Anastasio. I'm a professor in  
9 the Department of Land, Air and Water Resources at UC  
10 Davis. I'm an atmospheric chemist and my research  
11 focuses on reactions in condensed phases in the  
12 atmosphere, so that's cloud drops, fog drops, aerosol  
13 particles. We're also interested in the generation of  
14 reactive oxygen species by particles, and how that may be  
15 linked to human health effects.

16 CHAIRPERSON KLEINMAN: Thank you, Cort.

17 I'd like to give -- just take a few minutes  
18 for -- and go around the table, so that the people on the  
19 panel can introduce themselves.

20 PANEL MEMBER BLANC: Why don't you start  
21 actually, since we don't know you.

22 CHAIRPERSON KLEINMAN: I'll start. My name is  
23 Mike Kleinman, in the School of Medicine at UC Irvine. I  
24 am a professor in the Division of Occupational  
25 Environmental Health. And most of my studies involve

1 inhalation of airborne particulates, and in -- mostly in  
2 animal models. I have done or participated in some  
3 epidemiology studies, and I've been involved in air  
4 pollution research for -- well, at UCI for more than 30  
5 years. And before that, with the U.S. AEC, and at NYU.  
6 So I've been involved in this field for a quite a long  
7 time with a very diverse background in atmospheric  
8 chemistry, in exposure modeling, and in exposure  
9 assessments.

10           So I'll pass it on

11           PANEL MEMBER GLANTZ: So I'm Stan Glantz. I'm a  
12 Professor of Medicine at UC San Francisco in the  
13 Cardiology Division. I also direct the tobacco program.  
14 With John's retirement, I'm now the longest serving member  
15 on the Committee.

16           And I have done a lot of work on -- in addition  
17 to the laboratory work, on cardiovascular function, a lot  
18 of work on secondhand smoke and risk assessment. And I'm  
19 here as the biostatistician.

20           DR. FROINES: I would prefer to be stepping down,  
21 not retiring.

22           (Laughter.)

23           PANEL MEMBER GLANTZ: Okay. I'm the longest  
24 serving member since John fell off the Committee.

25           (Laughter.)

1 PANEL MEMBER GLANTZ: Yeah, I'm done.

2 PANEL MEMBER HAMMOND: I'm Kathy Hammond,  
3 Professor of Environmental Health Sciences from University  
4 of California, Berkeley, at the School of public health.  
5 My research has been exposure assessment for epidemiologic  
6 studies. I do occupational and environmental studies, and  
7 also secondhand smoke. And I don't think anyone could  
8 really call John retiring.

9 (Laughter.)

10 PANEL MEMBER GLANTZ: Maybe shy.

11 DR. FROINES: Shy is not a term that was used  
12 often.

13 PANEL MEMBER RITZ: All right. So I'm Beate  
14 Ritz. I'm an epidemiologist and Chair of the Department  
15 of Epidemiology at UCLA. I'm an environmental and  
16 occupational epidemiologist. I conduct studies on air  
17 pollution, pesticide exposures, and just about every  
18 health effect you can imagine. And I've worked with John  
19 my whole career in California. And I'm very sad that he's  
20 leaving when I just stepped on.

21 PANEL MEMBER GILL: I'm Sarjeet Gill. I'm from  
22 the University of California at Riverside. And I'm in the  
23 Department of Cell Biology and Neuroscience. My research  
24 activity is primarily on the molecular mechanisms of toxin  
25 action at the cellular and molecular level.

1           PANEL MEMBER BUCKPITT: I'm Alan Buckpitt. I'm  
2 from the School of Veterinary Medicine at UC Davis. My  
3 interests have been in chemical induced lung injury,  
4 primarily by agents that require P450 metabolism. I've  
5 been interested in looking at both the activation of those  
6 chemicals, the detoxification of them, and what happens  
7 when they become bound covalently to proteins.

8           PANEL MEMBER ARAUJO: I am Jesús Araujo. I'm a  
9 Associate Professor of Medicine at the UCLA School of  
10 Medicine. I am a vascular biologist and a cardiologist,  
11 and I direct the environmental cardiology and vascular  
12 biology lab at UCLA. And my research interest has been on  
13 the study of the vascular oxidative stress in  
14 atherosclerosis used in mouse models.

15           I've been doing air pollution research for the  
16 last 10 years, and focused on elucidating or dissecting  
17 the mechanisms and how does that particular pattern  
18 induces atherosclerosis by effects on plasma lipoproteins  
19 and HDL functionality.

20           PANEL MEMBER BLANC: My name is Paul Blanc. And  
21 like Jesús, I'm also a physician. And like Stan, I'm also  
22 at UCSF. And my work is on the epidemiology and clinical  
23 outcomes, particularly in lung disease. Although I also  
24 am trained in medical toxicology and work with the  
25 California Poison Control System, and also work in the

1 translation of scientific knowledge into publicly  
2 accessible information, including the history of the  
3 health sciences. And I am currently this year on academic  
4 development leave at the Center for the Study of -- the  
5 Center for the Advanced Study of Behavioral Sciences at  
6 Stanford University working on a book on the history of  
7 the viscose rayon industry in the 20th century.

8           And I've known John since I was an undergraduate  
9 and he was my teacher at Goddard College. And we worked  
10 together both on science and on its public policy  
11 implications, even then.

12           CHAIRPERSON KLEINMAN: Thank you very much.

13           The next part of the meeting is very pleasurable.  
14 I want to take the opportunity, first, to thank John for  
15 his friendship and his guidance over the last decade.  
16 We've worked together on a number of different projects.  
17 And I've come to really value him as a person that I could  
18 go to and speak to about research endeavors. I've always  
19 appreciated his depth of knowledge.

20           And John is, as everyone knows, stepping down as  
21 Chair of the Committee, and as one of the -- has been a  
22 founding member of this Panel, and Chair for I don't  
23 remember exactly how many years, but it's been quite  
24 awhile.

25           DR. FROINES: Fifteen.

1 DR. FROINES: '98 to today.

2 CHAIRPERSON KLEINMAN: Today.

3 DR. FROINES: One month ago.

4 CHAIRPERSON KLEINMAN: At any rate, he's done a  
5 remarkable service to the State and the community and to  
6 public health. And in that regard, I'd like to ask Gina  
7 Solomon to make some presentations and discussions.

8 CAL/EPA DEPUTY SECRETARY SOLOMON: Is this is on?

9 Yes.

10 Thank you, Mr -- Dr. Chair.

11 (Laughter.)

12 CAL/EPA DEPUTY SECRETARY SOLOMON: And this is a  
13 bittersweet event. It's for me a wonderful opportunity to  
14 recognize someone who I've looked up to personally for  
15 very, very many years, and someone who I think all of us  
16 admire enormously professionally, and someone who has  
17 given so very much to the people of California.

18 And so I'm really happy to take this opportunity  
19 to honor and recognize John Froines, but also very sad  
20 that this marks the end of his tenure as Chair of the SRP.

21 You know you should all know that John made  
22 enormous efforts to be here today. And we twisted his  
23 arm, because we really didn't want to have a transition  
24 without an opportunity to tell John ourselves how much he  
25 has mattered to this Panel, to CalEPA, and to the boards

1 and departments.

2 But, you know, he just got off a plane from Italy  
3 less than 48 hours ago, and then got on another plane to  
4 come up here for this meeting. So this was yet another  
5 demonstration of his commitment.

6 PANEL MEMBER BLANC: And he's not as young as he  
7 used to be.

8 (Laughter.)

9 CAL/EPA DEPUTY SECRETARY SOLOMON: We'll keep  
10 that part off the record. No comments on age.

11 (Laughter.)

12 DR. FROINES: Usually, under normal  
13 circumstances, there would be a rejoinder, but I'm being  
14 polite today.

15 (Laughter.)

16 CAL/EPA DEPUTY SECRETARY SOLOMON: So John was in  
17 Italy, by the way, to receive the 2013 Ramazzini Award,  
18 and to deliver the prestigious Ramazzini lecture. This is  
19 no small honor. And, in fact, we really have a giant in  
20 our midst, and it's great to recognize that today.

21 The Collegium Ramazzini conferred this award, in  
22 part interestingly, because of John's work on the SRP.  
23 The statement on the award said, "The 2013 Ramazzini Award  
24 will be conferred upon John Froines for his outstanding  
25 career in occupational and environmental health research".

1           It went on to mention some of his minor  
2 contributions related to, oh, the federal cotton dust  
3 standard, the federal occupational lead standard, little  
4 things like that, and then got to the important point,  
5 which is and for his work in California that led to the  
6 recognition of diesel exhaust as a significant toxic air  
7 contaminant preserving the health and the lives of  
8 millions. How true and how impressive.

9           More than 15 years ago when John stepped onto the  
10 scientific -- well, actually stepped into the role of  
11 Chair on the Scientific Review Panel, one of his first  
12 tasks was to lead the Panel through multiple drafts of an  
13 enormous document on the health effects of diesel exhaust.

14           And, at that time, diesel was not considered to  
15 be carcinogenic or a toxic air contaminant by any entity.  
16 And there was enormous criticism on OEHHA and ARB at that  
17 time. And yet, the science withstood the very careful and  
18 systematic scrutiny of this Panel under John's leadership.  
19 And diesel was listed as a toxic air contaminant and the  
20 rest is history.

21           In 2012, the world finally caught up with  
22 California, and the International Agency for Research on  
23 Cancer finally identified diesel as carcinogenic in  
24 humans. But in the meantime, we have had over a decade to  
25 implement a wide range of air toxic control measures. And

1 we can show dramatic decreases in air pollution, diesel  
2 pollution in California over that time period.

3           And these reductions especially benefit  
4 disadvantaged communities in the State. They especially  
5 benefit children in the State. And this is only one  
6 example of the many, many ways that this Panel, under  
7 John's leadership, has helped the State of California  
8 address toxic air contaminants in public health.

9           So Secretary Rodriquez expresses his regrets that  
10 his schedule didn't allow him to be here today. He's just  
11 back from China. He's trying to get ready for the Climate  
12 Conference of the Parties in Warsaw, and he's out of town  
13 today. But he will be sending a letter to thank you for  
14 your many fine years of public service.

15           And he specifically said the following words to  
16 me, and I thought you might like to hear them. He said,  
17 "I believe that the public is safer and healthier and the  
18 environment is cleaner and more productive because of John  
19 Froines' leadership and dedication".

20           The Secretary was sorry this summer to learn that  
21 John was -- hmm, I wrote retiring, but I guess I should be  
22 saying, what was it stepping down, from the SRP, but he  
23 also recognized that everyone must make that decision at  
24 some point.

25           And so, John, we'll certainly miss you. We hope

1 that you'll -- that we will continue to live up to your  
2 high scientific standards and rigor. And I am actually  
3 very highly optimistic in that regard. The SRP contains  
4 such an impressive group of scientists. All -- you're all  
5 leaders in your fields and you have a number of long-time  
6 members who will -- who have the experience to really keep  
7 that history alive, and keep the continuity. And I'm also  
8 very confident that Dr. Kleinman will exhibit a steady  
9 hand as Chair guiding the Panel forward maintaining these  
10 high scientific standards that John established.

11           The Secretary gave me the honor of presenting a  
12 Certificate of Appreciation today. It's a really small  
13 token. It's hardly adequate recognition of your decades  
14 of service to Cal/EPA and to the State of California.  
15 There's no way we can repay you for that, but you are an  
16 inspiration.

17           And so can I get you to come up here for a photo  
18 op and to accept this Certificate of Appreciation from the  
19 Secretary

20           DR. FROINES: At the Ramazzini meeting I was  
21 awarded a bust.

22           (Laughter.)

23           CAL/EPA DEPUTY SECRETARY SOLOMON: Darn, why  
24 didn't we think of that.

25           DR. FROINES: I was awarded a bust of Bernardino

1 Ramazzini, and it's about -- isn't this, would you say?

2 It's about this high, and it weighs about 50 pounds.

3 (Laughter.)

4 DR. FROINES: And they said that I had to bring  
5 it back and not -- they wouldn't send it. So I carried  
6 from Bologna, Italy this guy we now call Bernie.

7 (Laughter.)

8 DR. FROINES: -- to -- so that award is a --

9 PANEL MEMBER BLANC: Well, John, it's not the  
10 first time you've been busted.

11 (Laughter.)

12 CAL/EPA DEPUTY SECRETARY SOLOMON: Touché.

13 DR. FROINES: I'm not going to touch that one  
14 with a 10-foot pole.

15 CAL/EPA DEPUTY SECRETARY SOLOMON: Well, this is  
16 much lighter weight, but it's a little something.

17 (Thereupon pictures were taken.)

18 CAL/EPA DEPUTY SECRETARY SOLOMON: And there are  
19 a few more speakers, so we're not done yet.

20 DR. FROINES: Oh, good.

21 (Laughter.)

22 CAL/EPA DEPUTY SECRETARY SOLOMON: So I would  
23 like to introduce the next speaker, Assembly Member Roger  
24 Dickinson is here today with us. And he will be speaking  
25 on behalf of the Speaker and on behalf of the Assembly.

1 DR. FROINES: Oh, good.

2 CAL/EPA DEPUTY SECRETARY SOLOMON: So thank you  
3 for coming. I'll slip by.

4 ASSEMBLY MEMBER DICKINSON: Good morning. I am,  
5 in fact, very, very pleased to be here on behalf of  
6 Assembly Speaker John Pérez. And I think he asked me to  
7 do this, not just because we're in the district that I  
8 represent. And I live seven minutes from here, so it was  
9 relatively convenient to be here this morning, but perhaps  
10 more importantly because of my long-standing interest in  
11 and work in the area of clean air, both indoor and  
12 ambient.

13 And I'm proud to join all of you in honoring Dr.  
14 John Froines for his commitment to scientific integrity  
15 and for helping California to address serious health  
16 issues from toxic air contaminants.

17 As we have discussed, Dr. Froines was first  
18 appointed to this Panel at its inception in July of 1984  
19 by then Assembly Speaker Willie Brown, Jr. He, in fact,  
20 has spent nearly 30 years since that time, and has been  
21 reappointed by numerous Assembly Speakers over that span  
22 of decades to continue serving on the SRP.

23 As again we have already noted this morning, he  
24 became the Chairman, and my notes say 1977, but if you say  
25 1998, we'll go with you, because it's about you.

1 DR. FROINES: I think I was '97. I think it was  
2 '97. I think you're right. I think --

3 ASSEMBLY MEMBER DICKINSON: All right. So '97,  
4 so 16 years as chairman, and you became the fifth chairman  
5 when you assumed that post, and as has been alluded to,  
6 guided the Panel through numerous difficult and complex  
7 scientific issues throughout that span of time, including  
8 identifying diesel exhaust as a human carcinogen, and  
9 secondhand smoke as a substance that can cause breast  
10 cancer just to name a couple of the issues that all of you  
11 are very, very familiar with.

12 At their core though, these are issues that have  
13 led to significant advances in protecting public health of  
14 Californians. As a part of the result of the  
15 deliberations of the SRP, California's air has become  
16 much, much cleaner over the past decades. And we have  
17 seen major reductions in everything from diesel exhaust  
18 pollution to smoking in public places. Behind all of  
19 these public policies was a solid foundation of science  
20 thanks to the Scientific Review Panel's work under the  
21 leadership of Dr. Froines.

22 In addition to these activities, Dr. Froines also  
23 notably headed the Scientific Review Committee for Methyl  
24 Iodide, a highly controversial and toxic fumigant that was  
25 pulled from the U.S. market just a couple of years ago by

1 its producer.

2 Dr. Froines areas of expertise in toxicology and  
3 exposure assessment, his research interests in chemical  
4 toxicology, chemical and biological exposure assessment  
5 and governance -- and risk governance policy are all well  
6 known. And his academic career is also one of repute and  
7 note as well, joining along the way the UCLA School of  
8 Public Health in 1981.

9 He served as the director of the Center for  
10 Occupational and Environmental Health for 25 years, and  
11 the Director of the Southern California Particle Center  
12 and Supersite. And I must say that caught my attention,  
13 because my father was a professor of forestry at the  
14 University of California, Berkeley and spent 25 years as a  
15 director of the Forest Products Laboratory at the Richmond  
16 field station the first 25 years of its existence, and  
17 engaged in numerous California, national, and  
18 international endeavors in his field. So hearing about  
19 your travels to Italy and the world brought back some  
20 memories for me of my father's own activities as well,  
21 professionally speaking.

22 The State of California has certainly been lucky  
23 to have Dr. Froines at the helm of this important  
24 scientific panel for so many years. And on behalf of the  
25 speaker, I want to extend his personal thanks and the

1 thanks of all of us as members of the State assembly for  
2 the work that you have done that has made such a  
3 significant improvement in the quality of air and the  
4 quality of life for all Californians.

5           And I also want to just take a moment to  
6 recognize the new chair, Dr. Kleinman, who's assuming his  
7 position today, as the sixth chair of the scientific  
8 review panel. Dr. Kleinman was appointed by Assembly  
9 Speaker Pérez in August of this year, and was appointed  
10 chair by Secretary Rodriquez last month.

11           So welcome to you, and best wishes as you  
12 undertake your responsibilities and role as the chair.  
13 Certainly, you are a worthy successor to Dr. Froines. And  
14 I know the Panel will continue to work in good hands  
15 through the difficult issues that we continue to face in  
16 making sure that we can continue to advance the cause of  
17 clean air for all Californians.

18           So thank you for allowing me to come spend a few  
19 minutes with you. I wish you personally the very best in  
20 whatever lies ahead for you. I know it will be  
21 challenging whatever you take on. Your career shows that  
22 and past is prologue.

23           And I certainly wish you, Dr. Kleinman, the very  
24 best as well. To all of you, you are welcome any time in  
25 the Seventh Assembly District here in Sacramento.

1 (Laughter.)

2 ASSEMBLY MEMBER DICKINSON: Thank you.

3 (Applause.)

4 CHAIRPERSON KLEINMAN: Thank you.

5 CAL/EPA DEPUTY SECRETARY SOLOMON: We also have a  
6 representative here today with us from the State Senate.  
7 A consultant to the Senate Pro Tem Darrell Steinberg, Kip  
8 Lipper, who works on energy and environment issues and has  
9 been in the Senate for longer than John has been on the  
10 SRP.

11 MR. LIPPER: Yes.

12 DR. FROINES: That's a statement of some  
13 significance.

14 (Laughter.)

15 MR. LIPPER: Thank you. I had not come over here  
16 to speak today, but I just -- and no one contacted me. I  
17 just am on the listserv for the agenda for the Scientific  
18 Review Panel. I thought because Stan Glantz, I think  
19 probably about 15 years ago, said to me you ought to come  
20 to a meeting once in awhile and see what we do.

21 (Laughter.)

22 MR. LIPPER: I thought this would be a good  
23 occasion. But I come over -- I'm actually a staff person  
24 in the Senate, and I'm not here representing the Senate,  
25 as Mr. Dickinson is the Assembly. But I know that if I

1 checked with all 40 State Senators serving today, they  
2 would all say what I can say as a long-standing staff  
3 person, and that is, Dr. Froines, thank you for your  
4 outstanding service.

5 I'd just say one other thing to the Panel members  
6 and the great work that you do, that I actually think the  
7 SRP is one of the most underappreciated and probably most  
8 significant transformative bodies in California  
9 environmental law and policy. And the work that you all  
10 do, some of which is recognized very publicly, like the  
11 diesel exhaust work, secondhand smoke, and other things,  
12 but the work that you do every day on these issues is  
13 critically important. And I don't know that it's  
14 appreciated as much as it should be in the political  
15 arena. But I can tell you as a liaison -- the political  
16 hack liaison to the State Senate --

17 (Laughter.)

18 MR. LIPPER: -- we appreciate you a great deal.

19 And Dr. Froines I look forward to staying in  
20 touch with you and working with you in whatever capacity  
21 you're in. So thank you very much.

22 (Applause.)

23 DR. FROINES: I just want to say thank you to  
24 Kip. He's been an ally for all these years, and deserves  
25 more credit than he's -- you know we're giving him right

1 now.

2 CAL/EPA DEPUTY SECRETARY SOLOMON: And so for our  
3 next speaker we have Lynn Terry here from the Air  
4 Resources Board.

5 DEPUTY EXECUTIVE OFFICER TERRY: Dr. Froines, I  
6 can't believe it. We're so young. Stepping down already.  
7 But we won't count how many years many of us in the room  
8 have followed the work of the SRP. And Kip is absolutely  
9 right, it isn't as widely recognized as it should be  
10 broadly for the work you do. I know we've talked a lot  
11 about diesel particulate matter and that work, but I just  
12 can't understate the significance of that work broadly for  
13 the Air Resources Board's public health programs.

14 These days, I work primarily on programs to meet  
15 national ambient air quality standards for particulate  
16 matter and ozone, but it was the identification of diesel  
17 as a toxic air contaminant that really got the Board  
18 focused on the toxicity issues, the public health issues,  
19 and as we pursued our legal authority there, and we looked  
20 at diesel engines holistically, we look traffic emissions,  
21 we began to see that we had cancer risk. We had other  
22 health effects in children. We have a huge contribution  
23 to particulate matter pollution, and premature mortality,  
24 the NOx emissions are essential to control for ozone.

25 So the focus put on diesel engines has become the

1 focus of the Board for so many years. And not only are we  
2 well on our way to meeting our 85 percent risk reduction  
3 goal, we are well on the way towards meeting PM 2.5 fine  
4 particulate standards in southern California next year,  
5 and very close behind in the Central Valley. And the  
6 public health benefits of all of those programs taken  
7 together are so immense.

8           So I thank you, personally, on behalf of Chair  
9 Nichols, our current Board members, many former Board  
10 members who are well aware of your work, and of course,  
11 all of our staff. So we all look up to you as a role  
12 model. And I'm happy to say we see a lot of new young  
13 scientists coming to work for the Board. And it's people  
14 like you and others on the Panel that have really been the  
15 inspiration for those bright young students to work in the  
16 public health and environmental arena. So thank you,  
17 John.

18           DR. FROINES: Thanks, Lynn.

19           (Applause.)

20           CAL/EPA DEPUTY SECRETARY SOLOMON: Thank you,  
21 Lynn.

22           And next, I'd like to introduce Brian Leahy from  
23 the Department of Pesticide Regulation.

24           DPR DIRECTOR LEAHY: Well, first off, I want to  
25 thank you, John. Thank you very much for all the work

1 you've done. Dr. Kleinman, thank you for the work you're  
2 going to do.

3 (Laughter.)

4 DPR DIRECTOR LEAHY: First -- and the Panel, this  
5 Panel is amazing. You know, your diversity, your  
6 training, your world experiences, what you bring to our  
7 process is really important, and we'll be using you all in  
8 the future.

9 You know, it's good to reflect on what this is  
10 about. You know, I grew up in Ontario in the sixties.  
11 Third smoggiest city in the world. My cross-the-street  
12 neighbor was the 10, so, I mean, as a child your eyes  
13 would burn, your lungs would burn, you know, diesel smoke  
14 was real. So I appreciate that work.

15 You know, in the seventies I took my first job in  
16 corporate America, and people around me were smoking in  
17 the office -- in these closed buildings. I'd never been  
18 around cigarette smoke before. My body was in crisis. I  
19 went to the doctor. We couldn't figure out what was  
20 wrong, so I thank you again.

21 In the eighties, you know, I'd be out farming in  
22 my fields, and our neighbors would be doing their thing,  
23 and your throat would lock up, and your bowels would go  
24 nuts, and you get a little jittery feeling, a little  
25 organophosphate poisoning for the day.

1 (Laughter.)

2 DPR DIRECTOR LEAHY: You know, the work that we  
3 have done here in this building has changed our lives.  
4 And that's because, you know, we have brought a scientific  
5 approach. We've brought some reason, and we continue to  
6 push for industry, for the production of food for all the  
7 things that make modern life possible, but in a way that  
8 respects human health and the environment. And for that I  
9 thank you all.

10 And for John's -- for stepping up, is that what  
11 you're doing? You're going to have a great future. I  
12 thank you very much. And, Dr. Kleinman, thank you.  
13 You're going to appreciate this work.

14 Thank you.

15 (Applause.)

16 CAL/EPA DEPUTY SECRETARY SOLOMON: Thanks, Brian.  
17 And I guess George Alexeeff is going to be speaking -- no,  
18 he's coming up. George Alexeeff, Director of OEHHA.

19 DR. FROINES: I was wondering where he was for  
20 awhile there.

21 (Laughter.)

22 OEHHA DIRECTOR ALEXEEFF: I was hiding, hiding  
23 behind you like always. How's that?

24 (Laughter.)

25 OEHHA DIRECTOR ALEXEEFF: So, yeah, I'm George

1 Alexeeff, Director of the Office of Environmental Health  
2 Hazard Assessment. Welcome, Chair Kleinman, to the Panel,  
3 and other members of the Panel. Thank you for all being  
4 here.

5           So when I began State service in '86, my job was  
6 to provide reviews that the toxicant -- that your -- that  
7 this panel would review. The first one I did was on  
8 carbon tetrachloride. And then -- so over the years, as a  
9 staff member, I prepared a number of reviews that were  
10 reviewed by the Panel, and then also over time I was  
11 fortunate enough to be promoted to, at this point,  
12 Director of the Department.

13           So I've seen the panel over these years, and I've  
14 worked with Dr. Froines on a lot of activities, both with  
15 this panel and others. For example, other things that  
16 we're not going to talk about today that he served as a  
17 member of our Carcinogen Identification Committee for Prop  
18 65 for a large number of years, about 15 years or so. And  
19 he also served on this expert panel in oxygenates when we  
20 had the MTBE problem, as you recall, and that also came  
21 before the Panel as well.

22           And it just struck me today as kind of an  
23 interesting thing, the Panel did adopt our potency for  
24 MTBE. Although, other entities don't adopt that potency  
25 as being in existence. And it's interesting, we think of

1 cancer potency as coming up with a risk that is so small,  
2 no one would ever, you know, be able to detect it or  
3 measure it. Well, it turns out that our drinking water  
4 standard for MTBE is not based on a cancer potency,  
5 because you can smell it at levels below that, below the  
6 one in a million risk.

7           So, you know, just something to keep in mind that  
8 although the risks we come up with are very small,  
9 sometimes it's actually detectable. And this Panel was  
10 willing to, you know, look at the science and come to the  
11 conclusion that supported what we were proposing. And  
12 he's also recently -- I mean, John has reviewed many of  
13 our documents, but most recently he's reviewed our PBPK  
14 modeling for lead, which is going before -- for proposing  
15 a change in the occupational lead standard in the state.

16           So John has provided a lot of service to us. But  
17 I want to talk a little bit about what I think his  
18 services for this Panel has meant. One is, you know, this  
19 particular program I was looking -- I always like to look  
20 at the law. And I know that basically, you know, a toxic  
21 air contaminant is an air pollutant, which may cause or  
22 contribute to an increase in mortality or serious illness,  
23 or may pose a present or potential hazard to human health.

24           So that's what the statute says. So it was this  
25 Panel, and -- had to help interpret what's the science

1 that connects with may pose, present, all that, what are  
2 the assessment procedures, what are the calculations, what  
3 are the risk levels, all that.

4 So John has been instrumental in creating --  
5 helping to create that sort of process that we could then  
6 actually function and come up with a procedure that would  
7 be helpful.

8 And this also lead into our cancer identification  
9 guidelines for the state, in other words, the California  
10 Cancer Identification guidelines for all of our programs  
11 in the state. The Panel's work has led to that as well.

12 And so when I was thinking about John's --  
13 realizing I don't have much time, I kind of -- I don't  
14 know, I think I've been watching too many reruns lately  
15 for some reason. I was watching NCIS reruns. And there's  
16 a thing called Gibbs Rules.

17 So I thought well what are John's rules? And he  
18 doesn't really articulate them, but I thought, you know,  
19 this is what I think John's rules are, based upon his work  
20 on the Panel.

21 Okay. I think -- and the rules, if you don't  
22 know, are usually very short little brief sentences. So  
23 like the first one is stick to the science. That's one  
24 thing John always pushes is stick to the science. This  
25 Panel, especially, you know, the chemicals that have been

1 mentioned already ETS, diesel exhaust. There are a lot of  
2 other issues related to that -- to any sort of regulation  
3 that might go into those ultimately. And that all comes  
4 to the Panel in documents and things like that, and  
5 letters. So the point is, well, where is the science?  
6 And John always said stick to the science.

7           And not only did he stay stick to the science,  
8 but this is, I think, the hard part about it, he says  
9 tackle the -- this is what I think he says, tackle the  
10 hardest science issues head on. So what is the issue  
11 that's really, really tough here that people are  
12 complaining about your document that's wrong, and let's go  
13 ahead on it, why are you right, what's your evidence,  
14 where is it? So that's another thing I've always taken.

15           And then as a Chair, I would say he's always  
16 pushed for consensus. You know, there's been other panels  
17 that I've been on where they vote and it's like 3-2, okay  
18 that science issue passes, you know. But John has always  
19 pushed for consensus. And sometimes that means -- well,  
20 first of all, it means all the members have to contribute  
21 in the discussion. And second, the decision may not be  
22 made that day, which always bothered us. We wanted it  
23 done.

24           (Laughter.)

25           OEHHA DIRECTOR ALEXEEFF: But he would say, okay,

1 everybody would have to think about it, read the documents  
2 some. Let's come back. Let's see if we can get there.  
3 So that's another one, always push for consensus.

4 Another one, don't blindly adopt U.S. EPA  
5 guidelines.

6 (Laughter.)

7 OEHHA DIRECTOR ALEXEEFF: That was one. We came  
8 in -- when the hazardous pollutants became adopted as  
9 toxic air contaminants, we came in and said, hey, let's  
10 just adopt all of the U.S. EPA numbers. It will just be  
11 fast. We'll get a good bunch of numbers. John said, no,  
12 we don't know what the science is. We're not going to  
13 approve it. We're not voting on it. You guys have to  
14 look at all the science and bring it to us.

15 Okay. So as a result, this Panel ended up  
16 adopting hundreds of numbers, reading hundreds of reviews  
17 of chemicals, instead of just adopting what EPA did.

18 And the other one I thought is don't be afraid to  
19 talk about your research. I think that's one of his rules  
20 too. Particularly, we've all heard about quinones and  
21 quinones, and particulate matter, hexavalent chromium.

22 But he also liked -- you know, and I -- he also  
23 liked us to talk about our research that led to part of  
24 the science. And I really appreciate that, because we  
25 all -- as scientists, we do research that tries to address

1 some of these risk assessment issues. And John was  
2 always, you know, pushing saying, well, come on, you guys  
3 have got to put your information in there too that you've  
4 developed. And I've always felt really good about that.

5 We heard about diesel, and I just wanted to  
6 mention one more thing about that that I thought was --  
7 well, one thing about it was that, you know, it was at a  
8 difficult time, as Gina had mentioned. And we were kind  
9 of stuck, us and Air Board, as to how to proceed, because  
10 the researchers of which we were basing our risk on said  
11 don't use our research. You can't use it. So what do we  
12 do then?

13 The researchers are writing letters telling the  
14 Governor don't use it. So John --

15 PANEL MEMBER GLANTZ: Researchers who are being  
16 paid by the diesel industry.

17 (Laughter.)

18 OEHHA DIRECTOR ALEXEEFF: Well, John said let's  
19 convene the Panel. Let's convene a Panel of experts, so  
20 we had this Panel, plus another, I don't remember how  
21 many, additional experts come, including -- we had  
22 televised discussions and things like that of experts.  
23 And the Panel asked the experts some of these key  
24 questions, and we were able to get to a consensus. We  
25 were able to get to the experts and say what did they

1 really agree with, what did they not agree with. And they  
2 could agree with the science, I think in the end. That's  
3 what we concluded.

4 DR. FROINES: And Stan -- I should say Stan, to  
5 his credit, asked the key question.

6 OEHHA DIRECTOR ALEXEEFF: Yes.

7 DR. FROINES: What was it Stan?

8 PANEL MEMBER GLANTZ: Yeah, I actually from a --  
9 when they brought Garshick, who did the research that  
10 George is talking about, who then had become a consultant  
11 to the diesel people, and basically repudiated his own  
12 quite good work, was really an embarrassment. But after  
13 going on and on with all this equivocation, I got a -- I  
14 reached back to the ARB's lawyer who's sitting close by  
15 and got the law, and read the definition of the toxic air  
16 contaminant, and said is there anyone in the room who  
17 thinks diesel doesn't meet this definition?

18 And there was dead silence.

19 (Laughter.)

20 PANEL MEMBER GLANTZ: It was the high point of my  
21 career on the Panel.

22 (Laughter.)

23 OEHHA DIRECTOR ALEXEEFF: And so, you know, that  
24 was a key -- you know, it was a strategy to bring the  
25 science again, you know.

1           And then one of the thing I -- now, one of the  
2 things is personally, as I -- when I was a staff  
3 scientist, and pharmacokinetics were first being used, and  
4 industry was proposing that we use pharmacokinetics for  
5 methylene chloride to go from -- to calculate the animal  
6 potency level and then to extrapolate from animals to  
7 humans use these pharmacokinetic analyses things.

8           And OEHHA, we just -- we felt there were too many  
9 assumptions to use all that. And I forget how many  
10 assumptions we calculated, somewhere in the 80 or 90  
11 assumptions that were used. And so John basically told  
12 us, look, use the science for calculating the PBPK  
13 analysis for adjusting for the animal potency. That is  
14 solid. Use that.

15           So that actually moved us on to use PBPK  
16 modeling. And then when perchlorethylene came around, we  
17 used it then. And so that was, you know, a big push, and  
18 that's one of the rules of the science panel has been  
19 under John is to push us a little bit sometimes in the  
20 direction that where the science really is. And sometimes  
21 we -- you know, we might miss, or we're not sure if that's  
22 the place.

23           So anyway, I want to thank John for all of that  
24 guidance, for helping put together a lot of the strategy  
25 of how we work with toxic chemicals in the state, not just

1 in the air, but in our other programs as well.

2 And thank you.

3 (Applause.)

4 CAL/EPA DEPUTY SECRETARY SOLOMON: Thank you,  
5 George. And I'd also like to invite Dr. Paul Blanc up to  
6 say a few words, or you can stay them from there,  
7 whichever you prefer. Well, come on up here. It's  
8 probably better. Well, no, just John. Then we're going  
9 to ask him to rebut everything that we've all said.

10 (Laughter.)

11 PANEL MEMBER BLANC: So I'd like to try to  
12 synthesize some of the comments that have been made, but  
13 also add my own personal comments. As I mentioned  
14 earlier, as we went around the table, I've known John  
15 since I was an undergraduate and he was my teacher. So  
16 that we first met in April of 1972, and worked on -- he  
17 was my advisor on -- a couple of years later on my senior  
18 project, which was a agitprop theater piece on vinyl  
19 chloride causing industrial cancer.

20 But we also had another creative project  
21 together. We toured several campuses in the northeast  
22 performing a agitprop prop theater pierce called The  
23 Court-Martial Johnny Appleseed, in which Johnny Appleseed,  
24 who is immortal, has been drafted and sent to Vietnam,  
25 where he starts helping the peasants plant rice, and then

1 is court-martialed. And John is -- plays a Civil War  
2 general who's been brought out of retirement, since he's  
3 the only one without a specific opinion on the Vietnam War  
4 to -- for the trial.

5 This was under the aegis, I should add, of the  
6 Goddard College. It was the Goddard Indochina Action  
7 Project, or GIAP. And many of you will note that General  
8 Giap just died a few weeks ago at the age of 102. So I  
9 think all of that is very auspicious.

10 And in my many years working with John, John was  
11 I think instrumental in helping me get accepted into the  
12 Harvard School of Public Health. When John Peters met  
13 with me, as I started as a student, he said, well, we  
14 looked at your record from Goddard and we really didn't  
15 know what to do, and then we sort of said, "What the  
16 hell". And I think that was because of John's backing.

17 (Laughter.)

18 PANEL MEMBER BLANC: The other thing, I could  
19 probably add to George's list of aphorisms, or rules,  
20 would be something that I call lowering the boom, which I  
21 learned from John.

22 What that means is that you sit at a meeting like  
23 this and you let things sort of happen, and then at a  
24 certain point you lower the boom on the person or the  
25 subject depending. And everything sort of grinds to a

1 halt and then moves on. And it's sort of the yin of the  
2 yang of consensus.

3 And I've always appreciated it and, some might  
4 argue, have learned the technique myself. I don't know.  
5 I can't speak for that.

6 And I also liked George's comments about John as  
7 a catalyst for difficult work. And I know that the  
8 Panel -- what the Panel does often ends up leading to more  
9 work or revisions, or revisiting, or recalculations, or  
10 getting additional data, but I believe that it is the role  
11 of the Panel to make life difficult, so that life can be  
12 better. And I think that was John's guiding principle,  
13 and I know that we will be true to his spirit as we go  
14 forward.

15 So, John, from my heart, thank you.

16 DR. FROINES: Thank you.

17 (Applause.)

18 PANEL MEMBER GLANTZ: I just want to say  
19 something.

20 CAL/EPA DEPUTY SECRETARY SOLOMON: Sounds like we  
21 have an additional speaker. Dr. Glantz.

22 PANEL MEMBER GLANTZ: Yeah. I just want to --

23 DR. FROINES: Can I say one thing about what Paul  
24 left out.

25 (Laughter.)

1 DR. FROINES: What Paul left out is he is one of  
2 the most strategic thinkers alive. And what he used --  
3 what he told me once, he said, "I always know what you  
4 feel you need to accomplish in a meeting, but sometimes  
5 you can't do that, so I have to lead the direction of the  
6 meeting, and then it comes back and people say, Froines  
7 got this through". But, in fact, it was Blanc who  
8 actually pushed the envelope.

9 So for that strategic thinking, I really  
10 appreciate his work. Does that make sense?

11 (Laughter.)

12 PANEL MEMBER GLANTZ: Well, I just want to add,  
13 as -- I have -- I've been on the Panel since a few --  
14 about three years after it was formed. And this is also  
15 for our new Chair to learn, and that -- I want to second  
16 everything everybody said, but one thing that was left out  
17 was that accomplishing a lot of these things was often  
18 politically very difficult. And it was often done -- we  
19 have an administration with Gina Solomon as a high  
20 official, which is a strong statement of priorities.

21 But we've also had other administrations that  
22 this Panel has operated under and with John as Chair,  
23 which weren't so interested in moving the mark forward,  
24 including on things like lead and diesel and secondhand  
25 smoke. And under John's leadership, I think that all of

1 that politics was pushed through or pushed aside, again by  
2 focusing so much on the science.

3 But I think that the -- and Kip Lipper was  
4 involved in some of this at various points. But, you  
5 know, I -- and this is also for the members who haven't  
6 been around so long. I think the Panel has really emerged  
7 as a very powerful voice that people don't mess with,  
8 politically.

9 And that we had a couple of joint meetings with  
10 the EPA just for scientific discussions. And the  
11 difference to me was really remarkable how this Panel was  
12 just -- whatever the science said, the science said, and  
13 the politics were pushed out of the debate, and kept out  
14 of the debate, and moved forward despite the political  
15 pressures.

16 And, you know, I think that's -- that -- the  
17 strategic thinking and the backbone that -- that went  
18 behind that, I think, made as much a contribution to the  
19 things that everyone has been talking about, because it  
20 really allowed the science to dominate the discussion.

21 It contributed a huge amount to what we've been  
22 able to accomplish. And I hope that you will follow in  
23 his footsteps in doing that, as will the other people on  
24 the Panel, because a lot of these things were not easy to  
25 do. And there was a lot of pressure coming down on some

1 of these reports from unsympathetic people in the -- not  
2 this administration, but in some administrations. And it  
3 was hard, very hard sometimes, to move those things  
4 forward.

5           And I also think -- just since I have the floor,  
6 I also want to thank the staff, because they've worked  
7 very hard to do the same thing, which in many ways for  
8 them, was even harder than for us, because they worked for  
9 these guys, but -- I mean, that's why I've stayed on the  
10 panel so long. It isn't for the money.

11           (Laughter.)

12           PANEL MEMBER GLANTZ: But, I mean, the group of  
13 people here and the absolutely unbelievable leadership  
14 from John -- I mean, I just always leave astonished at how  
15 much he knows, and how he's able to take very arcane  
16 scientific details and turn them into sort of practical  
17 lessons of how you need to present things and frame things  
18 and accomplish things that then actually move the mark  
19 forward in ways people talk about.

20           I mean, it's really been amazing to watch you,  
21 even though you've yelled at me a certain amount.

22           (Laughter.)

23           PANEL MEMBER GLANTZ: But I'm going to miss you.

24           (Applause.)

25           PANEL MEMBER GLANTZ: On the Committee.

1 CAL/EPA DEPUTY SECRETARY SOLOMON: Thanks, Stan.

2 And so I'd like to -- John, I'd like to give you  
3 an opportunity to address the Panel and pass on your words  
4 of wisdom and advice. And I don't think this is going to  
5 be the last chance. I mean, we actually really want to,  
6 you know, have, at some point, some, you know, forward  
7 looking opportunity for the Panel to think about next  
8 steps and future roles. And so we might want to have a  
9 guest speaker, for example, for such an event. But just  
10 for today, we wanted to give you a chance to talk to them.

11 DR. FROINES: Well, thank you, Gina.

12 I think it's wonderful every -- what everybody  
13 has said. I sit here in awe of myself.

14 (Laughter.)

15 DR. FROINES: And the -- I think I want to say  
16 one thing with respect -- that goes back to what Stan was  
17 talking about. I think what this Panel has displayed  
18 throughout its history is integrity, integrity to science.  
19 It has been the wash word of this Panel, and it will  
20 continue with Mike's leadership.

21 But I think that the quality of science, the  
22 integrity in relation to science, and the commitments at  
23 the individual level have just been really quite  
24 extraordinary. So I think that the Panel, as a model, is  
25 something that does need more attention to even expand

1 beyond where we are now. And I'll be happy to come back.

2 I would like to come back and give a talk about  
3 where I think the Panel should be headed, and what the  
4 implications of that are for the agencies.

5 And I'll just tell you, you know, everybody keeps  
6 talking about diesel exhaust. Well, the Scientific Review  
7 Panel named diesel particulate. We didn't name diesel  
8 exhaust. We still have to deal with the vapor phase. So  
9 that, you know, you don't get it right every time.

10 (Laughter.)

11 DR. FROINES: What I want to say -- I want to  
12 take advantage of this moment and say goodbye to the  
13 Panel. I mean, I don't know you --

14 (Laughter.)

15 DR. FROINES: -- but I know everybody else pretty  
16 well. And this has been a wonderful experience. And so  
17 from me to you goodbye, and I love you for all the things  
18 that you've done and the quality of the work that's come  
19 out of this Panel.

20 It's really extraordinary what this Panel has  
21 done. We have done 450 risk assessments during the 30  
22 years. Unbelievable. And Melanie has, you know, probably  
23 got some back there.

24 So I want to say -- and, of course, Paul, Stan,  
25 and Kathy I know best. But now that I'm doing research

1 with Jesús, so there's a history that's forming there.

2 But I wanted to -- I want to, in a sense, stop the

3 academic side of this, and I want to say that there are

4 two people in this room who none of this could have

5 happened without and that I have the deepest regard for

6 them, and that is Peter Mathews, and Jim Behrmann.

7 They're staff. And Peter and Jim, wherever he may be, you

8 know, they carried the ball. And so we all need to

9 recognize the importance of their role, and that sometimes

10 people get lost in the shuffle, and we can't -- should not

11 let that happen.

12 And there are lots of other people, which I'm not

13 going to go through a long list of names. But I do want

14 to mention Mary Nichols. I want to mention, of course,

15 Lynn Terry. And there are others that -- DPR staff, for

16 example, Jay Schreider, Lori Lim, Ruby Reed were important

17 scientific toxicologists on methyl iodide. And that may

18 not be the SRP, but it's also important.

19 And then the whole group of people at OEHHA that

20 I can't even go through the names. There are too many for

21 me to go through the names. So if you don't mind, I'm

22 going to not go through and list every -- Andy Salmon,

23 Melanie Marty, et cetera, et cetera. It will take too

24 much.

25 But I want to know that -- I really think that

1 the relationship between this Panel, especially because of  
2 2588, and OEHHA has been remarkable. I think that there  
3 is a lot of important work to be done with DPR over the  
4 next period of time. And I think Brian reflects -- Brian,  
5 as being here, reflects his commitment to making a  
6 positive approach.

7           And I know I'm leaving a whole bunch of people  
8 out, and I don't want to, but I think that the -- the two  
9 things that I wanted to really stress is the relationship  
10 with Jim and Peter, but also more the relationship with  
11 the scientific staff that we've worked with, and the  
12 leadership of the agencies, so that they really have  
13 been -- it's been a joint relationship. And so that's  
14 been very important, and it's worked out very well.

15           We always don't agree, but we've always gotten  
16 through it. There's never been, as far as I know, correct  
17 me Stan, Paul, Kathy, there's never been a time when we  
18 couldn't get through what we had taken on, every time.  
19 Four hundred and fifty times we were successful in  
20 producing relevant documents and decisions that led this  
21 Panel and the follow-up agency work to its benefit.

22           So I had written down a whole bunch of  
23 contributions we had made, but, you know, because we've  
24 left out -- we haven't left out 2588. We haven't said  
25 anything about SR -- the SB 25. We haven't mentioned the

1 risk assessment methodology. We haven't mentioned  
2 specific chemicals. But I don't think I'll go through,  
3 but the list is really quite long and impressive, and so  
4 I'll leave it.

5 But to finish up, what I just want to say is  
6 thank you. Thank you for honoring me. I can't tell you  
7 what it means to me. It -- I can't -- I can't thank you  
8 enough for honoring me. And I appreciate every word  
9 that's been said here today, and I agree with all of them.

10 (Laughter.)

11 (Applause.)

12 CHAIRPERSON KLEINMAN: Thank you, John.

13 And I think everyone recognizes your leadership  
14 and your role in making this body and moving the State of  
15 California ahead of the rest of the country, and a leader  
16 in the world, in terms of improving air quality, improving  
17 public health, improving occupational health.

18 And we owe you all a great amount of respect and  
19 admiration. So again, thank you very much.

20 And to that end, we've prepared a resolution.  
21 And this resolution I'm going to read it, recognizing Dr.  
22 John R. Froines for dedicated service on the Scientific  
23 Review Panel on Toxic Air Contaminants.

24 "Whereas, Dr. John R. Froines has served with  
25 distinction as a founding member of the

1 Scientific Review Panel on Toxic Air  
2 Contaminants, and as the Panel's fifth Chairman  
3 from 1997 to 2013;

4 "Whereas, the Scientific Review Panel advises  
5 the Air Resources Board, the Department of  
6 Pesticide Regulation, and the Office of  
7 Environmental Health Hazard Assessment on  
8 important issues of science and public health;

9 "Whereas, as a member and then Chair of the  
10 Panel, he participated in and led the examination  
11 of numerous substances to determine whether they  
12 should be identified as toxic air contaminants,  
13 and reviewed the derivation of hundreds of  
14 reference exposure levels, and cancer potency  
15 factors to be used in health risk assessments;

16 "Whereas, he has provided insightful  
17 leadership in decisions made by the Panel to  
18 consider the scientific underpinning of proposed  
19 decisions to list chemicals as toxic air  
20 contaminants consistent with the statutory  
21 mandate that the identification and regulation of  
22 such contaminants should utilize the best  
23 available scientific evidence gathered from the  
24 public-private industry, the scientific  
25 community, the federal, state, and local

1 agencies;

2 "Whereas, his service on the Panel has  
3 benefited the people of California by helping to  
4 ensure that emissions of toxic air contaminants  
5 are controlled to levels that prevent harm to  
6 public health;

7 "Whereas, he has a consistent record of  
8 maintaining the highest standards of scientific  
9 integrity in the pursuit of knowledge and its  
10 application to public policy;

11 "Whereas, he has devoted his research and  
12 teaching career to expanding scientific knowledge  
13 in the areas of occupational and environmental  
14 health with major contributions to scientific  
15 understanding of the health effects resulting  
16 from exposure to air pollution; and,

17 "Whereas, he has consistently provided keen  
18 scientific analyses of toxicological issues and  
19 applied his expertise in exposure assessment has  
20 made an invaluable advisor to the state, and has  
21 dedicated endless hours toward the improvement of  
22 the environment and the public health of the  
23 people of California.

24 "Now therefore, be it resolved, that the  
25 Scientific Review Panel publicly expresses deep

1 appreciation and gratitude to Dr. John R. Froines  
2 for his decades of outstanding scientific service  
3 to the people of the State of California."

4 And I'd like the members of the Panel to approve  
5 this with acclamation.

6 All approved?

7 (Ayes.)

8 CHAIRPERSON KLEINMAN: John, I'm going -- this  
9 is --

10 PANEL MEMBER BLANC: Actually, you should get a  
11 second, I think, technically.

12 CHAIRPERSON KLEINMAN: Technically. All right.

13 PANEL MEMBER HAMMOND: I second.

14 PANEL MEMBER BLANC: Now you can.

15 CHAIRPERSON KLEINMAN: Can we have a second.

16 PANEL MEMBER BLANC: Kathy just seconded it.

17 DR. FROINES: Mike, see what I told you?

18 (Laughter.)

19 CHAIRPERSON KLEINMAN: You're right. What can I  
20 say? Now we now. All right.

21 PANEL MEMBER BLANC: Now you can vote.

22 DR. FROINES: You can see it coming now.

23 (Laughter.)

24 PANEL MEMBER BLANC: Now, you can call the  
25 question.

1 CHAIRPERSON KLEINMAN: All right. I'd like to  
2 call the question.

3 All approved?

4 (Hands raised.)

5 PANEL MEMBER HAMMOND: Enthusiastically.

6 CHAIRPERSON KLEINMAN: Enthusiastically.

7 Therefore, this is executed at Sacramento,  
8 California on this 1st day of November, 2013. And I will  
9 sign on behalf of the Scientific Review Panel.

10 (Applause.)

11 DR. FROINES: Can I just say one thing?

12 This is a very wonderful certificate of  
13 appreciation from Matt Rodriguez, the Secretary of CalEPA,  
14 but there's no document that will mean more to me than the  
15 document that comes from the Panel, from the people who  
16 are in the trenches, and who have struggled to make this  
17 thing work and be successful.

18 So thank you very much for doing that.

19 (Applause.)

20 CHAIRPERSON KLEINMAN: I'd like to present this  
21 to you and congratulate you.

22 Thank you.

23 DR. FROINES: It's all yours, Mike.

24 (Laughter.)

25 DR. FROINES: That's not true. I'm

1 just -- I'm -- that's why I say, I don't want -- the word  
2 "retirement" is a misnomer. I have no intention of not  
3 bugging this Committee.

4 (Laughter.)

5 CHAIRPERSON KLEINMAN: I think that is a good  
6 thing.

7 (Laughter.)

8 CHAIRPERSON KLEINMAN: I'd like to recess the  
9 meeting for about 15 or 20 minutes, and I'd like us to  
10 have an opportunity to just enjoy some refreshments, some  
11 coffee. And Melanie has been very gracious to provide us  
12 with some zucchini bread. I was going to say that anybody  
13 who, you know, voted against the resolution wasn't getting  
14 any, but --

15 (Laughter.)

16 CHAIRPERSON KLEINMAN: -- we were unanimous. So  
17 we're going to be recessed for about 20 minutes.

18 (Off record: 10:40 AM)

19 (Thereupon a recess was taken.)

20 (On record: 11:00 AM)

21 CHAIRPERSON KLEINMAN: Okay. I'd like to call  
22 this meeting back to order. So if everybody can take  
23 their seats, please.

24 Well, this interlude has been pleasant, but now  
25 we have to actually do some work.

1           The next order of business is to consider  
2 proposed acute 8-hour and chronic reference exposure  
3 levels for benzene.

4           And I believe, Melanie, are you going to make the  
5 initial presentation?

6           (Thereupon an overhead presentation was  
7 Presented as follows.)

8           DR. MARTY: Yeah. So I'm Melanie Marty,  
9 Assistant Deputy Director for Science at OEHHA. And  
10 I'm -- because we have a couple new members, including the  
11 Chair, I'm just going to provide a few introductory slides  
12 about the Air Toxics Hot Spots program, the role of the  
13 SRP in the review, and just get you oriented to the  
14 document and why we're bringing it to you, basically.

15   --o0o--

16           DR. MARTY: So the Air Toxics Hot Spots program  
17 provides for reporting of emissions to the air from  
18 stationary sources in California. So a number of  
19 stationary sources are subject to the program. They  
20 report their air emissions to the local air districts, and  
21 then to ARB. And it also provides for assessment of the  
22 health risks of those emissions to the general public.  
23 And then there are additional provisions that are related  
24 to risk management that the districts are responsible for  
25 and implementing.

1           OEHHA, under this statute, is responsible for  
2 developing and keeping up to date the risk assessment  
3 guidelines.

4           Next slide.

5                           --o0o--

6           DR. MARTY: So under that statute, OEHHA adopted  
7 risk assessment guidelines for assessing risk from  
8 stationary sources. And this Panel actually reviewed all  
9 of this in the late nineties, and early 00s. Included  
10 were the technical support documents for a derivation of  
11 non-cancer reference exposure levels, cancer potency  
12 factors, and exposure assessment. In addition, a guidance  
13 manual was put together for actually conducting the  
14 site-specific risk assessments, which are generally done  
15 by either consultants or engineers in a company. So it's  
16 designed for that.

17           Next slide.

18                           --o0o--

19           DR. MARTY: So in the statute, there is language  
20 requiring the SRP to review the risk assessment guidelines  
21 and associated documents. So that's what we're doing  
22 today.

23           Then the language says the Scientific Review  
24 Panel established, pursuant to another section in the  
25 Health and Safety Code, shall evaluate the guidelines

1 adopted under this paragraph, and shall recommend changes  
2 and additional criteria to reflect new scientific data or  
3 empirical studies. So that's the language giving you guys  
4 the role of peer review for this particular statute.

5 So the requirement applies to the technical  
6 support documents, which you saw in the 00s, and also most  
7 recently another revision, which I'll get to in a second.  
8 It also applies to the guidance manual, which comes out as  
9 basically a compilation of what's in the technical support  
10 documents.

11 And then in addition, it applies to the reference  
12 exposure levels and cancer potency factors, which are our  
13 chemical specific dose response assessments, because they  
14 are actually part of the risk assessment guidelines.

15 --o0o--

16 DR. MARTY: The statute in 1999, SB 25,  
17 Children's Environmental Health Protection Act, actually  
18 changed -- amended our statutory responsibilities a little  
19 bit. So OEHHA had a couple major roles in there,  
20 considering infants and children in quantitative risk  
21 assessment, and identifying toxic air contaminants. And  
22 these are actually already established toxic air  
23 contaminants, which may disproportionately impact  
24 children's health.

25 Next slide.

1                   --o0o--

2           DR. MARTY:  So this statute actually triggered  
3  OEHHA to reevaluate our risk assessment guidelines, and  
4  look at the methodology to ensure that they are child  
5  protective.  Under that, we completed updates of our  
6  technical support documents for non-cancer REL derivation,  
7  which went through the public review process and peer  
8  review by this Panel in 2008; our cancer potency factor  
9  derivation and application and risk assessment, including  
10 application of weighting factors for early life exposure,  
11 and this Panel reviewed that in 2009; and, then finally  
12 our exposure assessment methodologies, which this Panel  
13 reviewed in 2012.

14           So today's item is related to the hot spots risk  
15 assessment guidelines, in that it is reference exposure  
16 levels for benzene.  That's -- and we applied the new REL  
17 methodologies to that.

18                   --o0o--

19           DR. MARTY:  Also, related to today, we had to  
20 establish a list of toxic air contaminants that  
21 disproportionately impact children.  And the actual  
22 language in the statute is that, "May cause infants and  
23 children to be especially susceptible to illness".

24           We had an initial list of five.  So the statute  
25 actually said up to five that we published in 2001 that

1 this Panel also reviewed. We have subsequently had  
2 additions to that list, usually by bringing to the Panel a  
3 reference exposure level, and then recommending that that  
4 be added to the list. So that's the mechanism we've used  
5 to add chemicals to that list since 2001.

6 --o0o--

7 DR. MARTY: So today, we're looking at acute  
8 reference exposure levels, 8-hour and chronic reference  
9 exposure levels for benzene, and also OEHHA's proposal to  
10 add benzene to the list of toxic air contaminants that may  
11 disproportionately impact infants and children.

12 --o0o--

13 DR. MARTY: So I'm going to hand it over to Jim  
14 Collins, who you all know is with the -- with our Air  
15 Branch here at OEHHA. So Jim is going to walk you through  
16 the benzene reference exposure level derivation.

17 Okay, are there any questions for me?

18 Okay. Thanks.

19 DR. COLLINS: Well, I've been at OEHHA sometime  
20 between when Froines joined the Panel and before Glantz  
21 joined the Panel. So I go back to 1986 myself.

22 So today's document went out for public -- we  
23 originally came up with benzene RELs in 1999 and 2000. We  
24 sent this document out for public review. It came back  
25 with one comment letter. We then updated it based on the

1 comment letter and other newer data, and produced the SRP  
2 draft, which you got earlier this month.

3 --o0o--

4 DR. COLLINS: Benzene is a multipurpose organic  
5 solvent used in newspaper printing. It's used in the oil  
6 industry. And some of the epidemiological studies that we  
7 reviewed were from oil industry refineries. Used in the  
8 tire industry, used in shoe manufacturing. And a lot of  
9 the data we'll show you today were from shoe manufacturers  
10 in China. And finally, for most people, probably the  
11 biggest exposure from benzene these days is from cigarette  
12 smoking or secondhand smoke.

13 --o0o--

14 DR. COLLINS: Benzene was the first toxic air  
15 contaminant in California in 1985. There's a photo of it  
16 when it was identified. It's listed under Prop 65 for  
17 cancer, developmental toxicity, and male reproductive  
18 toxicity. And the ambient levels have fallen greatly in  
19 California, as shown on the next slide.

20 --o0o--

21 DR. COLLINS: In 1990, the ambient -- average  
22 ambient concentration of benzene in California was just  
23 lightly above 2.5 parts per billion. And in the last 10  
24 years or so, it's gone below half a part per billion.

25 --o0o--

1 DR. COLLINS: However, the U.S. production of  
2 benzene in 2010 was about 13.3 billion pounds. Hot spot  
3 sources in California emitted in 2008 roughly 21.7 million  
4 pounds of benzene. The top California stationary source  
5 emitted 49,000 pounds of benzene. We're not naming names  
6 today. And there's at least 25 facilities in California  
7 that emit at least 4,000 pounds of benzene per year.

8 --o0o--

9 PANEL MEMBER GILL: Are there any particular  
10 times? Are these throughout the year or are they --

11 DR. COLLINS: Those are total emissions.

12 PANEL MEMBER GILL: What about a specific  
13 emission at a particular time? Do you have any idea?

14 DR. COLLINS: You can do air modeling. We don't  
15 have a lot of that data. That's -- they're not required  
16 to report that. They're required to report their total  
17 emissions.

18 PANEL MEMBER GILL: Okay. Thanks.

19 DR. COLLINS: Acute RELs -- reference exposure  
20 levels are based on the most sensitive, relevant, health  
21 effect reported in the literature. Hour acute RELs are  
22 levels at which infrequent 1-hour exposures are not  
23 expected to result in adverse health effects.

24 --o0o--

25 DR. COLLINS: For the acute REL, our key study

1 was that by Keller and Snyder from 1988. They exposed  
2 pregnant mice to five, 10, and 20 parts per billion  
3 benzene on day 6 to 15 of gestation, which resulted in  
4 suppression of erythropoietic precursor cells, persistent  
5 enhanced granulopoiesis and peripheral blood cells of  
6 2-day neonates, and increased granulocytes in the livers  
7 of 2-day neonates and the spleens of adults at 6 weeks.

8 --o0o--

9 DR. COLLINS: These are the critical effects from  
10 the Keller and Snyder 1988 data, which we used for the  
11 cute REL and which were earlier used to develop the  
12 Proposition 65 MADL for benzene.

13 In the third column, non-dividing granulocytes  
14 showed a statistically increase of cell number at 20 parts  
15 per million. The early nucleated red cells showed a  
16 monotonically decreasing level of those cells from air to  
17 5, 10, and 20 ppm benzene, and there was no NOAEL for this  
18 endpoint. So from this study we got a LOAEL of 1.70 parts  
19 per -- I'm sorry 1.70 early nucleated red cells.

20 --o0o--

21 DR. COLLINS: We then took -- okay, and as I  
22 said, this is a Prop 65 MADL. We then took the data and  
23 we put it to our benchmark dose. We did get a LOAEL, but  
24 we first applied the benchmark dose before we tried to  
25 use -- unless we have to use the LOAEL, we prefer to use

1 the benchmark dose approach.

2 We used that data in several of the models, and  
3 we were not able to get the adequate fit, so we dropped  
4 the top dose, which is custom in risk assessment, because  
5 we're interested in the benchmark dose at the lower end of  
6 the curve. Notice also that that cell level had a bound.  
7 They basically didn't detect any of those cells at the 20  
8 ppm.

9 --o0o--

10 DR. COLLINS: Now -- so we dropped the 20 ppm  
11 dose, and we're showing here results for the linear model.  
12 And if you go to the fifth column, p for fit, in this  
13 case, the higher the p, the better the fit. So if you  
14 don't have a p of at least 0.1, it's suggested that you  
15 not use any of the models for the data. So we therefore  
16 decided we would have to revert to the LOAEL/NOAEL  
17 approach, because we could not find an appropriate  
18 benchmark dose model that would fit the data.

19 --o0o--

20 DR. COLLINS: So here is the table that we used  
21 to develop our acute RELs. The key study again, Keller  
22 and Snyder, with pregnant female rats inhaling 5, 10, or  
23 20 ppm benzene, 6 hours a day through the  
24 entire -- through the day 6 to 15 of gestation.

25 The critical effects were altered cell counts in

1 fetuses and offspring, the LOAEL of 5 ppm. We did not  
2 find a NOAEL, and we could not use the BMCL because of the  
3 poor fit.

4 --o0o--

5 DR. COLLINS: Human equivalent concentration.  
6 When the effect is systemic, animal ppm can be translated  
7 across the human equivalent ppm. So it was 5 ppm in the  
8 mice, it's considered 5 ppm in the animals. We used a  
9 slightly non-default LOAEL uncertainty factor, because  
10 some of the results from the benchmark models indicated  
11 that the benchmark was probably pretty close to the LOAEL,  
12 and using a factor of 10 was probably overkill.

13 The other uncertainty factors were the default  
14 uncertainty factors that are found in our 2008 guidelines.  
15 Two for toxicokinetic variance among animals, square root  
16 of 10 for toxicodynamic differences among animals, since  
17 we have no data.

18 The toxicokinetic uncertainty factor human  
19 kinetic of 10, which is our default, which is described at  
20 length in our guidelines. And the toxicodynamic factor,  
21 square root of 10 default. The cumulative uncertainty  
22 factor was 600. Dividing 5 ppm by 600 results in an acute  
23 reference exposure level of 8 parts per billion or 27  
24 micrograms per cubic meter.

25 --o0o--

1 DR. COLLINS: So that's our acute REL. And we're  
2 just going to keep going, unless the Panel wants to --

3 PANEL MEMBER BLANC: How would you like to handle  
4 it? Would you like --

5 DR. COLLINS: Whatever you -- I think what we've  
6 done is we've put the charge questions at the end.

7 PANEL MEMBER BLANC: So why don't we do that.

8 DR. COLLINS: If that's all right with you. I  
9 mean, it's up to you.

10 PANEL MEMBER BLANC: No I think that would -- I'd  
11 prefer that this time.

12 DR. COLLINS: Good.

13 So the chronic REL. A chronic reference exposure  
14 level is an airborne concentration at or below which no  
15 adverse noncancer health effects are anticipated in  
16 individuals, even in sensitive subpopulation indefinitely  
17 exceeded to that concentration.

18 --o0o--

19 DR. COLLINS: In the last 20 years, there's been  
20 an incredible amount of data coming out from benzene  
21 exposure in China. There's a collaboration among the  
22 National Cancer Institute, the Shanghai Hygiene and  
23 Anti-Epidemic Center, UC Berkeley, the University of North  
24 Carolina and other institutions has produced an impressive  
25 amount of data on levels of benzene exposure and its

1 effect on nearly 75,000 Chinese workers in 672 factories  
2 in 12 cities.

3 --o0o--

4 PANEL MEMBER GLANTZ: Could I ask so one  
5 question?

6 DR. COLLINS: Yes.

7 PANEL MEMBER GLANTZ: So one question I had, I  
8 mean is there any evidence of any racial differences in  
9 metabolism or susceptibility in benzene that would be  
10 important in terms of --

11 DR. COLLINS: There is a big racial difference in  
12 one of the enzymes that detoxifies benzene. And it's --  
13 the incidence is five times higher in the Chinese  
14 population than in most of the rest of the world.

15 PANEL MEMBER GLANTZ: So does that mean that they  
16 would be less susceptible?

17 DR. COLLINS: No, more susceptible.

18 PANEL MEMBER GLANTZ: Okay.

19 DR. COLLINS: Because the benzoquinone then can  
20 go and cause its damage, whereas the enzyme that degrades  
21 benzoquinone that's considered one of the key toxic  
22 materials just gets higher. And I don't know, if you --  
23 in the document you can see where when they stratified,  
24 according to different enzymes, there was a much higher  
25 incidence. So some of that is at least peripherally

1 explained in the text. I did not show -- I'd said that  
2 the difference between Chinese and others are like 22  
3 percent are missing the enzyme versus 4 percent in other  
4 populations.

5 PANEL MEMBER GILL: Can I correct you. I think  
6 it was between the Chinese and Caucasians.

7 DR. COLLINS: Between Chinese and Caucasians.

8 PANEL MEMBER GILL: But it's not other people.

9 DR. COLLINS: I'd have to look. I don't remember  
10 that data offhand, between Chinese and Caucasians about  
11 5-fold.

12 PANEL MEMBER GILL: And white Caucasians, that's  
13 what it is.

14 DR. COLLINS: And there's probably some other  
15 ethnic groups they've looked. I just don't have that on  
16 the tip of my --

17 PANEL MEMBER GLANTZ: Well, then how -- I mean, I  
18 realize we'll hold most of the questions till the end, but  
19 so how does that, if at all, affect, you know, your use of  
20 that study, in terms of getting the RELs if the --

21 DR. COLLINS: It's. We're --

22 PANEL MEMBER GLANTZ: Well, we have Chinese -- I  
23 guess if you're talking about the most susceptible, okay.

24 PANEL MEMBER HAMMOND: Yeah, we have Chinese  
25 people.

1 PANEL MEMBER GLANTZ: We have Chinese people.

2 DR. COLLINS: There's 1.1 million Chinese in  
3 California.

4 PANEL MEMBER GLANTZ: That's true.

5 PANEL MEMBER BLANC: I think it would be more  
6 appropriate, Stan, to come back to that question in the  
7 later discussion, like their uncertainty factor.

8 DR. COLLINS: So this study, Lan et al., and  
9 Martin Smith was part of this group, it's a  
10 cross-sectional survey, which studies 250 workers, mainly  
11 female, exposed in two shoe manufacturing facilities to  
12 glues containing 0.6 to 34 percent benzene for 6 years, on  
13 average.

14 --o0o--

15 DR. COLLINS: And here are the data. The  
16 complete table is with standard deviations as shown in the  
17 guidance manual. However, I selected seven of about  
18 approximately the 12 things they looked at. And in all  
19 cases, the low group, with a mean value of 0.57 ppm  
20 benzene, had statistically significant lower cell counts  
21 for all seven of those indices. All of them are quite  
22 highly significant.

23 In our REL, we decided to use the B cells because  
24 they were -- there was a mono -- also not only was the  
25 LOAEL the low group significantly different, but there was

1 a monotonic decreasing level of cells with increasing  
2 concentration of benzene.

3 --o0o--

4 DR. COLLINS: So here are a bunch of the models.  
5 Again, if we go to the P test, test 4, several of the  
6 models are not adequate. The exponential model is barely  
7 adequate. However, the Hill model passes the T test and  
8 it also has the lowest Akaike Information Criterion. The  
9 EPA recommends that if you have several tests that are  
10 positive with P greater than 0.1, that you look for the  
11 one with the lowest AIC, because that has the fewest  
12 variables in it, as I understand it.

13 We selected half a standard deviation as our  
14 benchmark. In categorical data, we've used five percent  
15 incidence as the benchmark. And depending on who you  
16 read, half a standard deviation is fairly close to  
17 about -- is fairly -- it's pretty much the 5 percent  
18 benchmark with categorical data.

19 Also, at half a standard deviation, the BMCL is  
20 the closest to the BMC. The variance is less. And the  
21 last line, when a standard deviation failed, which you'll  
22 find is one of the things that -- one of the main comments  
23 made about the document.

24 --o0o--

25 DR. COLLINS: Here's the fit. What we're looking

1 at on the Y axis is the mean number of B cells per  
2 microliter of blood. We have four dots with error bars,  
3 and those are the four dose groups. In this case, the  
4 error bars are standard errors.

5 At the bottom, we see the BMD, and that  
6 intersects the Y -- the X axis about close to one and --  
7 1.6 parts per million benzene. And the BMDL is close  
8 to -- is about 0.47 ppm benzene, just slightly lower than  
9 the LOAEL that was determined by the authors.

10 --o0o--

11 DR. COLLINS: So here is our table again.  
12 Exposure continuity. According to Martin Smith, these  
13 people work six days a week, not five, so we had a  
14 slightly different exposure continuity. The critical  
15 effect was decreased B cells. The LOAEL was 0.57 ppm.  
16 The BMCL was 0.476 ppm.

17 --o0o--

18 DR. COLLINS: We then multiplied that by 10 over  
19 20 times 6 over 7 to get a -- basically a 168-hour  
20 exposure of 0.204 ppm, because we're looking for  
21 continuous exposure in the human population. We used a  
22 subchronic factor of the square root of 10, because the  
23 average exposure was 6.1 years.

24 We used an interspecies UF of one, because we  
25 used a human study. And the intraspecies factor was 30,

1 and we did not split it. And if you looked at the  
2 document, there were reasons both for the toxicokinetic  
3 factor would either be the square root of 10 or 10, and  
4 for the toxicodynamic factor could be the square root of  
5 10 or 10. So basically, we decided a compromise and we  
6 just used a factor of 30. And we're not saying which is  
7 which, but we think that that's an adequate capture of the  
8 uncertainty factor.

9           The database factor -- uncertainty factor was  
10 one, so the cumulative uncertainty factor was 100. The  
11 chronic REL was 0.02 ppm or 2 ppb or 7 micrograms per  
12 cubic meter.

13                           --o0o--

14           DR. COLLINS: 8-hour RELs are concentrations at  
15 or below which noncancer adverse health effects are not  
16 anticipated in the general human population with daily  
17 exposures of 8 hours. And these were developed partly to  
18 deal with workers who were exposed to the neighboring  
19 factory's emissions.

20                           --o0o--

21           DR. COLLINS: For health a protective approach,  
22 the 8-hour REL here is the same as the chronic REL. It's  
23 unclear whether the adverse effects of repeated benzene  
24 exposure, which can include adducts of both DNA and  
25 proteins, can be reversed by periods of non-exposure over

1 the night or over the weekend.

2 And the effects of benzene we consider are likely  
3 to continue worsening with additional exposure, so we felt  
4 that an 8-hour REL was not justified different from the  
5 chronic REL.

6 --o0o--

7 DR. COLLINS: And then finally, we believe that  
8 benzene is a TAC that differentially impacts children.  
9 There's a widespread exposure to benzene. There's  
10 documented toxicokinetic variability in benzene  
11 metabolism. During development, there's a dynamic  
12 hematopoiesis, and therefore benzene may  
13 disproportionately impact infants and children, therefore  
14 we propose it be identified as a TAC, which may  
15 disproportionately impact children pursuant to Health and  
16 Safety Code, the given section.

17 --o0o--

18 DR. COLLINS: The main -- the principal comment  
19 on the acute REL was the endpoint we used was  
20 inappropriately, that they -- although there were  
21 differences in the differential counts, there was no  
22 alteration in the maturation or development of circulating  
23 erythrocytes. That is total numbers observed, and the  
24 authors felt the biological significance of the endpoint  
25 is unknown.

1                   --o0o--

2           DR. COLLINS: From the chronic REL and 8 hours,  
3 comments that revolved around the choice of the study.  
4 The commenter wanted to use another study that -- from  
5 Schnatter et al., which has -- which is another Chinese  
6 study, which is a collaboration of ExxonMobil, Fudan  
7 University, and Richard Irons at the University of  
8 Colorado.

9           They also argued about the choice of endpoint.  
10 They had some questions about those response models,  
11 especially the Hill curve. And they pointed out that  
12 earlier literature showed a lack of effect at low exposure  
13 of benzene. And that's true, but we now have a couple  
14 studies that indicate the very effects in workers exposed  
15 chronically to less than one parts per million benzene.

16                   --o0o--

17           DR. COLLINS: Okay. Charge questions.

18           And obviously that one question I gave you said,  
19 basically we're talking about this Keller and Snyder being  
20 appropriate, and is the effect on hematological system  
21 endpoint for development of acute REL.

22           Oh, I'm sorry.

23           CHAIRPERSON KLEINMAN: All right. So. With  
24 regard to the charge questions, I think we can look at  
25 these one at a time. And I'd like to give, you know, the

1 Panel an opportunity to comment on these.

2           So the first one about the -- is the Keller and  
3 Snyder study the most appropriate for establishing the  
4 acute REL.

5           And I think, Paul, did you have a comment on  
6 that?

7           PANEL MEMBER BLANC: I guess I have comments, but  
8 I'm not sure they fit so well into the structure of the  
9 charge questions as they were circulated. And they may --  
10 because they may cross over multiple aspects. And I  
11 think, for me, a thing that touches on this, in terms of  
12 the uncertainty factors, but perhaps more saliently the  
13 chronic calculation.

14           If you know from good data that there's a 20-fold  
15 variability in human susceptibility based narrowly on the  
16 enzyme -- function of one enzyme, if you know that  
17 variability is at least 20-fold, how can you use a 10-fold  
18 factor for uncertainty of within --

19           DR. COLLINS: Because other things might  
20 counteract. You could have one enzyme going up and  
21 another going down, or --

22           PANEL MEMBER BLANC: Well, but you know that  
23 there's a subset of the population for whom they have the  
24 two hits and you show that there's a 20-fold thing. So  
25 I -- this is one of the strongest examples you've ever

1 brought forward of a situation where there seems to be at  
2 least one very clear indication that it's 20-fold, not  
3 10-fold.

4 DR. SALMON: But we are looking at the sensitive  
5 subpopulation in this case for the population.

6 PANEL MEMBER BLANC: No, you're not. You're  
7 looking at a population, which has relatively more of the  
8 enzyme distribution, but I don't think that's a  
9 justification. You certainly never explicitly say that's  
10 the justification for abandoning 20. So either -- I think  
11 this document either has to justify better abandoning 20  
12 or it should use 20. And I don't think it should just  
13 dance around it.

14 PANEL MEMBER GILL: I agree with Paul in the  
15 sense that this -- the data you have is actually very  
16 strong. And if it is there, then either use it or justify  
17 as to why it has not been used, because the answer, in  
18 effect, is clearly an issue here, because it's very clear  
19 that there is a difference in populations.

20 PANEL MEMBER BLANC: And also, I should say that  
21 that's the pharmaco -- let's see if get this right.  
22 That's the pharmacokinetic issue. On the pharmacodynamic  
23 issue, elsewhere in the document, you make clear that  
24 women are likely to be differentially holding onto  
25 benzene, and therefore its metabolites, longer because of

1 it fat solubility. And clearly, leaving aside sex  
2 differences, the epidemic of obesity, including in  
3 children, is quite notable in America.

4           So that would -- I think that would speak to the  
5 pharmacodynamic. So I wasn't even sure that the square  
6 root of 10 was so solid there. But I think at least in  
7 your discussion similarly of that choice, you need to come  
8 back to it, since you bring it up in the text itself early  
9 on, in your sort of general commentary.

10           So I was struck by both of those things. And I  
11 think we've been through this before, where, you know,  
12 you're -- obviously, you're forced to make a decision in  
13 some direction at some point, and that's fine. That's  
14 what you're supposed to do, but you need to suspend our  
15 disbelief better.

16           DR. SALMON: Yeah, we do have a discussion of  
17 this issue in relation to the chronic REL on page 45 of  
18 the document, but it sounds like we need to examine that  
19 to see if we can clarify what we're saying a little bit  
20 better.

21           PANEL MEMBER BLANC: Yeah, I actually read it,  
22 and thought this is an argument for 20. I mean, I didn't  
23 really read it as --

24           DR. SALMON: Well, it's complicated, precisely  
25 because the population that we are looking at, at least

1 includes, you know, a larger element of the susceptible  
2 individuals than a general population without this  
3 particular ethnicity bias.

4           But I think that there's probably more that needs  
5 to be said about, you know, what are the quantitative  
6 implications of the ratios of the sensitive versus  
7 nonsensitive in the study population versus the supposed  
8 target population for the reference exposure level. That  
9 may be something we need to look at, but I don't think  
10 that the numbers support the idea that you can just, oh,  
11 20 is the factor. It's more complicated than that, but --

12           PANEL MEMBER RITZ: I agree, but there are  
13 actually four points you need to consider. First, the 20  
14 was derived from just bone marrow. So there could also be  
15 differences in metabolism in other organs, and they can be  
16 larger or smaller.

17           Second, it's -- the 20 I think is derived maybe  
18 from two or three genes. And according to one of the  
19 studies here, there are 450 involved. So once you sort  
20 through all of those, you can have a much larger  
21 proportion of people that, you know, have some genetic  
22 makeup that predisposes them.

23           Third, hydroquinones are more produced at lower  
24 levels, less than 1 ppm. So the metabolism actually is  
25 even favoring, at low exposure levels, higher production

1 of toxins.

2           And third, there's a -- fourth, there's an aging  
3 effect. It seems that this mechanism increases with  
4 aging, and we have an aging population.

5           And fifth, it's stored in the fatty tissue, and  
6 we have more and more obesity. So those are five  
7 different factors that increase my uncertainty.

8           CHAIRPERSON KLEINMAN: Any other comments on the  
9 uncertainty factor?

10           So it would --

11           PANEL MEMBER BLANC: And that would touch on --  
12 just that I think that would -- I think would touch on  
13 both -- all three RELs, since there's uncertainty factors.  
14 So that's why I said it didn't neatly fall into, you know,  
15 just looking at the acute REL.

16           CHAIRPERSON KLEINMAN: There were other  
17 considerations in the acute REL.

18           PANEL MEMBER GLANTZ: Well, so -- I mean, I have  
19 to say this was an issue when I read the document that I  
20 missed. But the -- but based on the discussion, it seems  
21 like everybody is saying you ought to be going with a  
22 higher uncertainty factor for a whole range of different  
23 reasons.

24           I mean, are you guys convinced of that, or do you  
25 think the arguments you were making earlier that there

1 might be some countervailing effects or that you're  
2 already dealing with a sensitive subpopulation you would  
3 argue to stay with what you want. Because, I mean, this  
4 is a really important point.

5 But everybody who's spoken to this issue has said  
6 you need the higher uncertainty factor.

7 DR. SALMON: Yeah, I mean, I think our initial  
8 consideration was that we certainly thought that it was  
9 plausible that the uncertainty might need to be considered  
10 as being larger than default. And we -- I guess, we're  
11 going to need to rethink that decision. I don't know.

12 DR. MARTY: So this is Melanie Marty. We did  
13 have a lot of discussion about this uncertainty factor  
14 internally. Overall, we were comfortable with 30, and I  
15 think I'm still comfortable with 30. The population that  
16 Lan studies had actually more women than men, which is  
17 unusual in an Occ study, but it did. It was -- they were  
18 Chinese, so they had a already more susceptible -- or  
19 higher frequency of people who would produce more of the  
20 toxic metabolites than other -- than the general public in  
21 California anyway.

22 DR. COLLINS: And those women don't smoke or  
23 drink.

24 DR. MARTY: Exactly. The women were non-smokers  
25 generally. So given all of that, we felt that a factor of

1 30 for intraspecies variability was sufficient.

2 PANEL MEMBER RITZ: Well, from my smoking  
3 evaluations in California, we have a large immigrant  
4 Hispanic population that's also non-smoking. They have a  
5 lot more fatty tissue than the Chinese women, and they  
6 have a lot of Asian genotypes, because that's what makes  
7 them Hispanic.

8 DR. MARTY: So they would, in our view, be  
9 covered. By using the Chinese population, there was a  
10 large group of women in there.

11 PANEL MEMBER BLANC: I think you're obliged to do  
12 some kind of mathematical calculation that would show you  
13 how much you think you've overestimated the effect,  
14 because of -- because what you're arguing is that the  
15 slope that you've seen would be different if this was a  
16 Caucasian population or something, and that you have an  
17 inherent safety factor built in.

18 And I'm not sure that I buy that mathematically,  
19 and I think you should do some Monte Carlo modeling or  
20 something where you change the percentage of the purported  
21 genetic subtypes in the population, as well as -- it also  
22 doesn't address really Dr. Ritz's comment about the other  
23 genes that you don't know about, but if this is an area --  
24 obviously, that's a generic question with any substance, I  
25 suppose.

1           And one of the challenges that you faced here,  
2 which I'm sensitive too, is that you actually have too  
3 much data, in a way. You know, a lot of times, we'd never  
4 come up with a lot of stuff, because we have so little  
5 data. Here, you have this incredible richness of data,  
6 which raises more questions. And so you've done due  
7 diligence and have gone back to this topic, you know,  
8 looking at the new data.

9           But, you know, bearing that in mind, I think that  
10 this is a chemical for which there is complicated  
11 metabolism, and there are two principal pathways, which  
12 may have different endpoints, and, you know, there's going  
13 to be effects at lower levels that may supervene and so  
14 forth.

15           But all of those things do put the burden, I  
16 think, on the document, to the extent that it wants to  
17 back away from a somewhat larger uncertainty factor.  
18 We're not saying it should, you know, throw in another  
19 10 -- factor of 10 for, you know, other uncertainties, but  
20 it just -- it's just a little too glib for me.

21           So when we re-review this document at our next  
22 meeting, either there needs to be a much more solid  
23 defense of what you're doing, in terms of uncertainty or  
24 you need to go up to 20 for the -- within -- or if you  
25 want to fudge a little bit, you can say, well, there's

1 both that and there's the issue of obesity, and it's  
2 pharmacodynamic effects and therefore -- although, we're  
3 not going to specify which is contributing to the  
4 uncertainty factor here -- our total uncertainty factor.

5           PANEL MEMBER BUCKPITT: I think maybe one of my  
6 concerns, and you pointed it out here quite well, and it's  
7 exactly what we talked about with the butadiene issue, and  
8 that is the efficiency of metabolism. That, to me, is one  
9 of the key issues, as you go down in concentration, you  
10 continue to produce really high concentrations of  
11 metabolites in relationship to that dose. In other words,  
12 it doesn't drop with the dose. It stays pretty stable.

13           And you've pointed out all of the good literature  
14 in that regard. So I think maybe that would justify a  
15 lower or a higher uncertainty factor, because we don't  
16 know what those effects are going to be at lower  
17 concentrations.

18           DR. MARTY: To some extent, that's incorporated  
19 into the data you have, because the data go all the way  
20 out to 30 ppm, so -- and you can see it flattens out.

21           PANEL MEMBER BUCKPITT: It sure does.

22           DR. MARTY: So that steepness early on in the  
23 dose response load is because of this issue, at least  
24 partially.

25           PANEL MEMBER BUCKPITT: Yeah.

1           CHAIRPERSON KLEINMAN: One of the things that I  
2 noticed when looking at the curve is it's got a  
3 semi-logarithmic sort of shape to it. So even though it  
4 appears to flatten out, if you plot it  
5 semi-logarithmically, it really is a monotonic log linear  
6 curve. And I think it does lend itself to a better  
7 estimate of what the uncertainties might really be,  
8 because you do have the error terms built into that.

9           So I would defer to Stan about -- you know, in  
10 terms of the statistics on this, but I think that there  
11 may be a better statistical model that could be used to  
12 get a handle on the uncertainty.

13           PANEL MEMBER GLANTZ: Well, I think that's a  
14 different question. I think what we're talking -- what  
15 we're talking about here is the uncertainty due to the  
16 biology, rather than the statistical uncertainty of the  
17 fit. And I think the benchmark model and taking the lower  
18 confidence bound, that's built into what they did. So --  
19 I mean, maybe I'm not understanding something, but I don't  
20 really think the issues that are being discussed now  
21 relate to the model itself. They relate to, you know,  
22 how, given the population they used to get the data from  
23 to do the fit, you know, how -- you know, what safety  
24 factors or uncertainty factors you need to include, so  
25 that you can say this is applying to a susceptible

1 population in California? So those really -- I don't  
2 think those relate to the fit or the shape of the curve.

3 DR. SALMON: I mean, parenthetically, I think it  
4 should be pointed out that the thinking behind the  
5 benchmark dose modeling approach explicitly doesn't assume  
6 that the model chosen has any particular significance, in  
7 terms of the biology that's going on. It is chosen and  
8 defined as, you know, an arbitrary model to fit the data  
9 statistically. It's not intended to provide an indicator  
10 of mechanism.

11 But I agree with you, in saying that there are  
12 substantial uncertainties around the biological factors.  
13 And, of course, it's also somewhat distressing that it  
14 seems particularly, in this case, the more biology we  
15 learn about benzene, the more -- the uncertainty appears  
16 to increase rather than decrease.

17 And I suppose there's a sense in which we need to  
18 be cautious about getting, you know, sent overboard by  
19 that apparent increase in uncertainty, because at some  
20 level we need to have this reference exposure level to be  
21 comparable in its derivation to others.

22 And, you know, perhaps then this is saying that  
23 all of our RELs incorporate uncertainties that we are not  
24 fully aware of, but we -- certainly, in this case, we do  
25 get the opportunity to think about them in a little bit

1 more detail.

2 PANEL MEMBER HAMMOND: Are you saying -- Kathy  
3 Hammond. Are you saying we have more certainty about the  
4 uncertainties here?

5 (Laughter.)

6 DR. SALMON: Well, I hope that's what is being  
7 said. I hope that's what I was saying.

8 (Laughter.)

9 PANEL MEMBER GLANTZ: Yeah, I mean, I think -- I  
10 mean, as I recall, I think I was the lead on the  
11 methodology document, where -- and we spent, for those of  
12 you who haven't been on the panel forever. I mean, we  
13 spent a lot of time talking about these default  
14 uncertainty factors, but -- which I'm comfortable with.

15 But I do think, based on listening to the  
16 discussion, and the -- this is the -- the whole point of  
17 the defaults is they're defaults, when you don't have  
18 additional information that pushes you away from the  
19 default in one direction or another.

20 And it sounds like it would make sense to go with  
21 a higher uncertainty factor. I mean, basically from a  
22 statistical point of view, you fit the model and try to  
23 come up with, based on the population that you use to get  
24 the model, with what would be a health protective  
25 exposure.

1           So that comes out of the graph and the benchmark  
2 dose. But then the question is, given who you use to  
3 derive that number, how should that be further adjusted to  
4 take care of the biological issues? I mean, here we have  
5 human data, but sometimes there's interspecies issues that  
6 have to be added in.

7           But it seems like, as Kathy said, that we have  
8 more certainty about the uncertainty, and so it may be  
9 that you should do the things Paul is suggesting and go to  
10 the higher number, absent a good argument not to. And  
11 frankly, what I've heard they're saying is there's some  
12 unspecified things that might push it in the other  
13 direction. For everything I've heard that's been concrete  
14 has been supporting using the bigger uncertainty factor.  
15 And everything I've heard about why you shouldn't increase  
16 it has been sort of vague. I mean, unless I'm missing  
17 something.

18           And I think the comments from the other Panel  
19 members are quite specific, in terms of the justifications  
20 that they were giving for going with a bigger number.

21           DR. SALMON: Yeah. Well, we'll obviously have to  
22 think about how we can -- how we can handle that. I mean,  
23 there's a difficulty in -- you know, if we were to decide  
24 to try and do some kind of a statistical analysis, based  
25 on the supposed difference between the subject and target

1 populations. That, to some extent, flies against the  
2 logic, regardless of who you think the target population  
3 is, we should be protecting any sensitive members within  
4 that population.

5 PANEL MEMBER GLANTZ: Well, no, and I --

6 PANEL MEMBER BLANC: I agree with that. I wasn't  
7 really rooting for such an analysis. I was just saying if  
8 you're going to make the argument, you better --

9 DR. SALMON: Yeah. Well, I think we have  
10 evidently to make that argument.

11 PANEL MEMBER GLANTZ: Well, or but actually I  
12 almost jumped in when that got suggested, because I mean  
13 you do say in the document that you're talking about  
14 sensitive subgroups, and so -- which sort of got back to  
15 the point I raised at the very beginning, when people  
16 pointed out that there's Chinese people in California and  
17 they're a sensitive subgroup. And so that would argue  
18 against doing the kind of simulation that was being talked  
19 about.

20 So I think the real issue is just the one that's  
21 been on the table, and that is should you be using a  
22 larger uncertainty factor based on what we know about the  
23 biology? You know, rather than coming up -- because  
24 you're not trying to get an estimate of the uncertainty  
25 factor for an average Californian. You're talking about

1 sensitive subpopulations.

2 DR. SALMON: Yeah. Yes, I think that is a very  
3 significant point that it's written into our guidelines  
4 somewhere I'm sure. I'm sure you made sure we add it in.

5 (Laughter.)

6 PANEL MEMBER BLANC: So coming back to the acute  
7 REL study and the endpoint issue. I don't have a  
8 fundamental problem of any kind with the choice of the  
9 endpoint that you used in that study, and I don't -- I  
10 think you're correct in responding essentially to the  
11 critique that, well, who knows what this abnormal  
12 observation means. I think you could have been perhaps  
13 more convincing in the response to that critique, but  
14 that -- I mean fundamentally you have a toxin where you  
15 believe that the target -- we have every reason to believe  
16 that the target organ is the bone marrow, and you're  
17 looking at a parameter of hematologic development.

18 So that, to me, in the health protective sense,  
19 you know, seems like a completely reasonable thing to do,  
20 and because you're part of this whole exercise is related  
21 to the question of are there sensitive subpopulations  
22 based on age?

23 And this is a study of fetal and young offspring  
24 exposure, it seems to me that it's a reasonable study to  
25 use. And you -- I think, I believe you talk about what --

1 you know, this is a different study than you used before  
2 and why you used it.

3           So I didn't find a particular problem. I do  
4 think that a generic comment on the document is that you  
5 should go back and look at the words that you use, the  
6 terms that you use, in terms of what hematologic parameter  
7 is being affected or -- you use words like hematotoxic,  
8 because some of your articles use that, but I'm not  
9 sure -- I'm not sure actually you're completely consistent  
10 in how you use those terms, and I'm not sure whether you  
11 would -- that the document would benefit from at least  
12 defining how you are going to choose to use these terms,  
13 because it's -- it is a confusing area, and I think it's  
14 not helped along by some vagueness.

15           You may have, as an oncologist, have even more of  
16 a thought on that. But I thought there were times where I  
17 wasn't sure you weren't meaning necessarily an effect on  
18 the red blood cell line, you were meaning at times a  
19 general bone marrow effect. And sometimes you were  
20 talking about erythropoiesis, but you didn't necessarily  
21 say erythropoiesis.

22           So just make sure you take a second look.  
23 Somebody should just look at it carefully and make sure  
24 that you're always consistent in what you mean. And, for  
25 example, even in the key table, since it's the table the

1 you're basing your thing on, there's no footer that it  
2 really explains to me what is an early nucleated red cell  
3 as oppose to a late nucleated red cell. And in the  
4 methods of the paper as their described, it wasn't --

5 DR. COLLINS: There's hemoglobin in the late one,  
6 but not in the early one.

7 DR. MARTY: I think the point is we need to  
8 define it more.

9 DR. SALMON: We need to say that in the document.

10 PANEL MEMBER BLANC: And then were various points  
11 actually in which you correctly reported something that  
12 seemed to be contradictory or inconsistent with  
13 expectation, but then there wasn't any like so how do you  
14 explain that, you know, or any kind of editorial comments.  
15 There were times when things were just sort of there, and  
16 I would make a question mark, so why, or, you know,  
17 whatever. That's a more -- that's sort of a generic  
18 thing, but I think -- I know you're not supposed to be  
19 writing a, you know, necessarily critical review, but  
20 there are times when it's just -- you're just summarizing  
21 a bunch of studies and it's very hard to know why  
22 did you -- why are you making a big deal about this  
23 particular study or not in a sense -- if that makes sense?  
24 But I don't have a fundamental problem with using the  
25 endpoint you used or the study that you used.

1           PANEL MEMBER RITZ: I have one comment on the  
2 acute reference level. When I looked at this list about  
3 the exposure of the Keller and Snyder, it says 10 days,  
4 day 6 to 15 in gestation. And the way it reads, it's  
5 almost like, okay, you have 6 hours per day for 10 days  
6 exposure, but actually 6 to 15 day of gestation in a mouse  
7 covers a lot of different developmental stages. So what  
8 of that is actually the acute one. It's probably not the  
9 duration, it's just that you hit a certain window, so you  
10 shouldn't probably say it's a 10-day duration that affects  
11 this.

12           DR. SALMON: One of the things which we went into  
13 in our guidelines was that for this kind of developmental  
14 study, we weren't going to scale the exposure, you know,  
15 following, you know, Haber's law or some derivative, which  
16 is what we would ordinarily do for non-developmental type  
17 toxicity. We specifically do say that the developmental  
18 limits we're looking them at -- looking at them as  
19 not-to-exceed concentration type of levels for developing  
20 an acute REL precisely for this reason. So to that  
21 extent, we are agreeing with you, yes.

22           PANEL MEMBER BLANC: I have another endpoint  
23 issue, which may cross over. I realize this is a  
24 non-cancer endpoint REL, so you're not talking about  
25 leukemia, although you allude, at a couple places, to

1 studies that touched on cancer outcomes.

2 This may be a question for Jesús, I don't know.  
3 But in thinking about that, is myelodysplasia, as far as  
4 you're concerned, because it's a pre-cancerous condition  
5 in a certain sense, are you excluding that from  
6 consideration as a -- in your review of the literature? I  
7 don't think there's going to be a study for you to use as  
8 a derivative study. I mean --

9 DR. COLLINS: We didn't Intentionally exclude it,  
10 but I sort of think of it as a pre-cancerous thing, but...

11 DR. SALMON: Yeah, I mean, I think that we have  
12 historically recognized dysplasia as not being the same as  
13 actually neoplasia. So we have -- you know, in principle,  
14 it's admissible as an endpoint for developing these RELs.  
15 But I don't think that we -- I don't think we found a  
16 study that used that -- you know, used that definition  
17 that was appropriate to base this -- you know, this study  
18 which is measuring more, you know, smaller scale --

19 PANEL MEMBER BLANC: No. This was a more generic  
20 question about endpoints that would cross over just in  
21 terms of --

22 DR. SALMON: Just, in general, dysplasia -- we  
23 would recognize dysplasia as a non-cancer endpoint, yes.

24 PANEL MEMBER BLANC: Jesús, any --

25 PANEL MEMBER ARAUJO: You know, I am actually a

1 cardiologist, not an oncologist.

2 PANEL MEMBER BLANCH: Oh, that's right. I'm  
3 sorry. You're right.

4 PANEL MEMBER ARAUJO: So you actually know more  
5 about cancer than I do.

6 PANEL MEMBER BLANC: Who is our -- don't we have  
7 an oncologist though? Who's our oncologist person,  
8 because -- isn't there a seat that's in oncology?

9 DR. COLLINS: Who wants it?

10 PANEL MEMBER BLANC: Anyway. But you're a  
11 doctor, so what do you say?

12 PANEL MEMBER ARAUJO: I agree with you, yeah.

13 DR. SALMON: I mean, at some level, there's an  
14 arbitrary cut point and --

15 PANEL MEMBER ARAUJO: From what I understand, and  
16 how we usually use it. So myelodysplasia is not really a  
17 cancerous entity. But you can talk about these plastic  
18 cells that are cured within cancer. And then you talk  
19 about the level of dysplasia, a percentage of cells that  
20 have showed dysplastic features.

21 And in that regard -- so that dose-related cancer  
22 is I guess it is really, you know, in the context how it  
23 is used, but as an entity it's not really cancer.

24 PANEL MEMBER BLANC: So that being said, I think  
25 that you should have a few sentences somewhere in your

1 literature review that talk about it. And I'll pass on to  
2 you and abstract of a recent study actually by the  
3 Schnatter group, the Exxon group, which talks about  
4 myelodysplastic syndrome as a more sensitive endpoint for  
5 low level exposure than leukemia. Now, they have their  
6 own reasons for saying that, but nonetheless it's an  
7 interesting -- it's an interesting thing worth -- and  
8 since it's -- it's recent enough that I can understand why  
9 it's not in your review.

10 But I think it's worth commenting on it, because  
11 it got a little blurry there where you're talking about  
12 aplastic anemia and other things.

13 PANEL MEMBER RITZ: Since I'm knew, can I ask a  
14 question? So I just heard from Paul that you're not  
15 supposed to write a critical review, but -- because when I  
16 was reading it, I had the same kind of feeling that you  
17 had, that there were studies just very nicely reviewed,  
18 but then there wasn't one or two sentences saying why this  
19 is a strong or weak study, which I would have liked. So I  
20 had to kind of make it up myself, which I can, because I'm  
21 an epidemiologist, at least for the human studies, but I  
22 think a reader wouldn't be so that -- who is not an  
23 epidemiologist and knows the literature.

24 And therefore, sometimes larger studies seem much  
25 more impressive. And there was especially one where they

1 didn't see any effects, and you wonder why that wasn't a  
2 study that got more weight in this argument. And, of  
3 course, I know they probably didn't have as good an  
4 exposure assessment or -- you know, there's a lot of  
5 misclassification of exposure from what I was reading. So  
6 maybe some statements in that direction would actually be  
7 helpful.

8 PANEL MEMBER GILL: So one of the questions they  
9 asked is, which of the studies are better, Lan or  
10 Schnatter? And what's your view on that?

11 PANEL MEMBER RITZ: Yeah, Schnatter was -- that  
12 was the big one from Collins, right?

13 DR. COLLINS: No, no, no. Schnatter was.

14 PANEL MEMBER RITZ: Schnatter.

15 DR. COLLINS: No, she's thinking -- you're  
16 thinking of Swaen. No, Schnatter is the industry study  
17 from China that was suggested by the commenter.

18 PANEL MEMBER GILL: And the other one is the Lan  
19 study, which is actually from the Berkeley group.

20 PANEL MEMBER RITZ: Yes.

21 PANEL MEMBER GILL: So which do you think was a  
22 better one? I have my own assessment, but I'd like to --  
23 since you are and epidemiologist, I'm not --

24 PANEL MEMBER RITZ: Yeah. I actually have to go  
25 back to that one. That one I didn't find as impressive.

1 PANEL MEMBER GILL: Which one?

2 PANEL MEMBER RITZ: The Chinese one, the  
3 non-Berkeley one.

4 PANEL MEMBER GILL: They're both Chinese.

5 PANEL MEMBER RITZ: Yeah. Yeah, I know. The  
6 non-Berkeley one. What's it called?

7 DR. COLLINS: Schnatter.

8 PANEL MEMBER RITZ: Schnatter. Yeah. Exactly.

9 PANEL MEMBER GILL: Actually, when I read the  
10 papers, I had to go back. That's the only what I can do  
11 that. Actually, to me, the Lan study is actually much  
12 superior. And although, there's already -- there's only  
13 one table, the table is very extensive. And the endpoints  
14 they use are actually much more precise, to a large  
15 extent. And as a response that you gave to the external  
16 review, I think you were weak in your response, because I  
17 think if you had been more -- if you look at the original  
18 paper itself, is actually -- the differences between the B  
19 cells and the other cells, the T cells and others, are  
20 actually much more pronounced, even than -- because you  
21 selectively took some data, which is a bit less than the  
22 data that is in the original publication.

23 But your analysis is correct, it's a much -- in  
24 my view, it's a much better study. Although I'm not an  
25 expert in epidemiology, but from a science point of view,

1 it looks better.

2 PANEL MEMBER ARAUJO: But the point that Beate  
3 raises is whether they have to go and disclose and other  
4 reasons why the other sides are not good in the document,  
5 and -- or it is something that could just be disclosed in  
6 the response to the commentators, as opposed to perhaps  
7 just mentioning like the strengths of the study that they  
8 are choosing and just focus on that. Because otherwise --  
9 and this is just going to be really tough, like for future  
10 documents, if you have to go and explain and justify why  
11 you're choosing one and justify why you didn't choose the  
12 other 19 that have been published. So it would make all  
13 the future documents really, really tough, I would say.

14 DR. MARTY: Let me just add something into the  
15 discussion. That when we do a toxic air contaminant  
16 identification document, we're going through the whole  
17 process, hazard identification, dose response assessment,  
18 exposure assessment, and we get into lots more details on  
19 those documents, and they're huge.

20 These reference exposure level documents, you  
21 know, we already know that it's a toxic chemical. In the  
22 case of benzene, we already know that the flood formation  
23 is the target.

24 So we don't go into a lot of detail except on the  
25 studies that we think are relevant to deriving the

1 reference exposure level, so they're relevant to the dose  
2 responses assessment. So that's one reason why somebody  
3 like Beate looks at this and goes, wow, I would have put a  
4 lot more studies in here, or I would have described more.

5 So we've tried to be better about saying why we  
6 chose the studies we chose as the basis of the reference  
7 exposure level, but we probably could improve that, for  
8 sure.

9 PANEL MEMBER GILL: But you see the assessment  
10 I'm making is not assessment you have to make. The  
11 assessment you have to make is to justify why you used  
12 Lan, and not another. You asked the question, that's why  
13 I wanted to know whether there's a point of view for the  
14 other Panel members which is better.

15 For me, one of the things -- difference was the  
16 subcategorization of the cell types, where they had  
17 particular cell markers was very critical. Whereas, the  
18 other study was actually just actually more observational  
19 without any cell markers actually identified. So there  
20 are very precise cell markers. The precise cell marker is  
21 then is very easy to say, okay, this is the cell marker we  
22 are looking at, and therefore you are more assured of what  
23 you're looking at is correct.

24 Whereas, if you just say it's a neutrophil or the  
25 subcategories and actually it's not as precise as when you

1 say it's CD4 plus, or CD8 plus, or natural cell, I think  
2 there's a much better characterization, as far as I can  
3 see from that, but I think that has to be gone further as  
4 to compare the two studies as such. I'm not an  
5 epidemiologist, but I think that needs to be evaluated as  
6 such.

7 PANEL MEMBER RITZ: Well, I wasn't asking for a  
8 comprehensive review, but you probably need to say that in  
9 the beginning that this isn't supposed to be a  
10 comprehensive review of all studies, and what the purpose  
11 is.

12 DR. COLLINS: I think we do say that.

13 PANEL MEMBER RITZ: Well, do you say it clearly?  
14 (Laughter.)

15 DR. COLLINS: This review includes relevant  
16 material published through July 2013, is an integral view  
17 of those studies specifically applicable to developing  
18 non-cancer acute 8-hour and chronic inhalation RELs for  
19 benzene.

20 PANEL MEMBER BLANC: Yeah, let me just clarify I  
21 think what Dr. Ritz was really implying, which is just  
22 that there are some places where a two or three sentence  
23 summary would help considerably. So I don't think anybody  
24 is asking for pie in the sky, just there are certain  
25 places. And also, if you present something, which is

1 inherently contradictory to what you've just been arguing  
2 or a study, which then you -- you're obliged to have a  
3 sentence that says, you know, this, you know, had  
4 different findings and can't be explained or it is likely  
5 to be related to such. That's all.

6           So, I mean, we're not talking about pages and  
7 pages of text, but just here and there, you know -- and I  
8 think you probably know where those places are, better  
9 than we do from what you did.

10           I have one other comment, since the topic of all  
11 of the endpoint for the chronic came up and the choosing  
12 the B cell subset. I think the point is very well taken  
13 that you make -- I think it's in the document, not just in  
14 the responses, that all of the cell lines were responding.

15           So it's not that, you know, you -- it wasn't a  
16 multiple testing issue, you know, that was a consistent  
17 effect. I think it could be said -- the implication is  
18 that you cherry picked, but that's not actually correct,  
19 since all the cell lines were down, and I think there  
20 might be a way of saying that more explicitly.

21           But I also wonder if it would support the  
22 argument of what you did choose, when -- you make the  
23 argument that the other study has just total white cells,  
24 and that comes up with a -- if you do the math, it comes  
25 up with a value of 11 parts per billion or something. Am

1 I getting that right, or whatever it is?

2 I think that if you said what -- if you used  
3 exactly the same approach to the B cells that you used for  
4 the total white count for the study that you -- the Lan  
5 study, would you come up with 11 parts per billion too,  
6 because that would support then choosing the more  
7 sensitive -- you know, you'd say it's consistent with  
8 Schnatter. I mean, I don't know, maybe that doesn't make  
9 sense to do, but I just -- is what occurred to me as I  
10 read it, you know, what would happen? You know, therefore  
11 is it -- are you in line or would you be somewhere in  
12 between? Is it consistent? And you've done that in other  
13 documents where you've sort of done a mind experiment, and  
14 you've said, okay, but we're not suggesting we should use  
15 this endpoint, but were we to use, this is what we  
16 would...

17 DR. COLLINS: And you mean like all seven cell  
18 types in the end?

19 PANEL MEMBER BLANC: No, no. You're saying the  
20 other study doesn't have sub -- it only has total white  
21 cells.

22 DR. COLLINS: Right.

23 PANEL MEMBER BLANC: So what happens if you use  
24 the total white cell value from the Lan study?

25 DR. COLLINS: Oh, okay. Yeah, yeah, yeah.

1           No, that's using the LOAEL NOAEL things. That's  
2 using a LOAEL. I gotcha.

3           PANEL MEMBER BLANC: Yeah, and the same benchmark  
4 approach.

5           CHAIRPERSON KLEINMAN: I think it's also safe to  
6 say when you look at the white blood cell data from the  
7 Lan report versus the B cells, the B cells have a more  
8 monotonic relationship. And if you use the white blood  
9 cells, you would have a flatter dose response curve.  
10 Therefore, the B cells are much more -- not much more, but  
11 more sensitive as an endpoint indicator.

12           DR. COLLINS: There were at least three cell  
13 types that had a monotonic, platelets, B cells, and the C  
14 cells, I believe.

15           CHAIRPERSON KLEINMAN: Right. So I think that,  
16 you know, that kind of justification could be added to the  
17 text as well.

18           Other comments?

19           PANEL MEMBER BLANC: I think I have a few other  
20 comments, which I could just give you, you know, some  
21 notes that I've made on the text. But I did think one  
22 thing that became murky for me, understandably most of the  
23 literature focuses on the quinone pathway, but looking at  
24 Figure 4.1, it comes up several times in other places  
25 about the muconaldehyde pathway. And in the final

1 analysis, I was left confused as to whether I should think  
2 about that or I shouldn't think about that, or does that  
3 matter for -- is that some rodent-specific thing that has  
4 no human relationship?

5           Because then there was the description of the  
6 study, which had most potent inhibitor of red blood cell  
7 production was a mixture of hydroquinone and TT  
8 muconaldehyde, 50 to 1. So 1/50 of the hydroquinone of  
9 the muconaldehyde, but that's a different pathway, right?  
10 That's not the pathway you talk about.

11           So I read that and then I thought well what does  
12 that mean? Am I supposed to then be thinking about this  
13 other pathway too and the genetic variability?

14           DR. COLLINS: Maybe there's not data on that  
15 pathway. The data is on the other pathway for genetic  
16 variability. I don't know. There may be differences to  
17 muconaldehyde pathway. I don't remember seeing it. We  
18 sort of --

19           PANEL MEMBER BLANC: But you see what I'm saying  
20 about why -- that's an example. That's a good example of  
21 you throw something out there and I read it. And I say,  
22 "Oh, my God. That must be important", because it's not --  
23 and then it's like it never appears again. Well, that  
24 little pathway appears, but -- I don't know. Did anybody  
25 else have that take on it? Maybe I just misunderstood it.

1 I don't know.

2 PANEL MEMBER GILL: No. Actually, I looked at  
3 the metabolic pathway that -- it's a bit dated, I would  
4 say. You know, it may be good to update all the pathways  
5 that are involved in what you describe in the description.  
6 But in the figure it is actually just taken out as a  
7 figure from 1992 document. I think it would be nice to  
8 either self-generate one or get one which has all the  
9 pathways involved in metabolism.

10 PANEL MEMBER BUCKPITT: Rappaport has one in his  
11 recent chem bio interactions paper. It's pretty complete.

12 DR. COLLINS: Thank you.

13 PANEL MEMBER BLANC: Just another general  
14 comment. And this came through even in the slide show, I  
15 mean, yes, benzene is a solvent, but most of the exposure  
16 doesn't occur in its -- it has solvent properties, but  
17 it's a naturally occurring molecule that's present in  
18 petroleum. And so most of the exposure, or much of the  
19 exposure, is either through its intentional use as a  
20 chemical intermediate and synthesis not as a solvent or  
21 because it is an inherent contaminant in petroleum feed  
22 stock and petroleum in commercial use, right? Isn't  
23 that -- leaving the cigarette thing aside, but it's not  
24 because all that much people -- or it's a contaminant of  
25 other solvents, but not because people are that much,

1 certainly not in the United States, using benzene as a  
2 solvent.

3 DR. MARTY: In the U.S.

4 PANEL MEMBER HAMMOND: I think that's an  
5 important distinction, in the U.S., because an awful lot  
6 of the data -- I think the Chinese data is where it's  
7 being used as a solvent.

8 PANEL MEMBER BLANC: Yeah, but their -- in their  
9 introductory -- they're talking about what is what matters  
10 in California --

11 PANEL MEMBER HAMMOND: In the United States.

12 PANEL MEMBER BLANC: In California, where are  
13 people?

14 PANEL MEMBER HAMMOND: Yeah, in California, I  
15 think it's absolutely true. You're right, that's where  
16 it's more important. But I do think that the -- most of  
17 the data we have, upon which this is based, is  
18 occupational data that does use benzene as a solvent. So  
19 I don't think that's inappropriate to say that.

20 PANEL MEMBER BLANC: Oh, I don't think they  
21 should not say that benzene is used as a solvent, but I  
22 that to say benzene is a solvent is --

23 PANEL MEMBER HAMMOND: But say in California, the  
24 most frequent sources of exposure are.

25 DR. MARTY: And we could just amend that first

1 paragraph on page three. I mean, we say benzene is  
2 emitted in large quantities from oil refineries and  
3 petroleum storage facilities. And that's the major source  
4 of exposure for the general public in California.

5 PANEL MEMBER BLANC: And just where you, you  
6 know -- and on the Air Resources Board data you have these  
7 data from the Bay Area Air Quality Management District,  
8 which has maximum and minimum average. I'm assuming  
9 that's an arithmetic average, although you don't actually  
10 say that.

11 And then the southern California stuff is the  
12 mean and standard deviation. And it's like are you  
13 kidding me? I mean, what I want to know is what's the  
14 90th percentile?

15 PANEL MEMBER HAMMOND: The maximum.

16 PANEL MEMBER BLANC: Or the maximum if you can't  
17 get it. So this was something that was on their website.  
18 Can't they give you the data? I mean,

19 DR. COLLINS: We can ask.

20 PANEL MEMBER BLANC: Anyway, I don't think -- I  
21 don't think you should present it in this way.

22 PANEL MEMBER HAMMOND: I had exactly the same  
23 comment.

24 Sorry. I had exactly the same comment as Paul,  
25 that it is really important, if we're talking about acute

1 RELs, that we be talking about what are the maximum. I  
2 think if we were talking, you know, lifetime exposures,  
3 the average would have a meaning. But here, we really  
4 need the maximum. And I think there's several other kinds  
5 of information that needs to be given. I understand it  
6 may not be available, but I think it needs to be  
7 identified that it's missing.

8           So these -- this doesn't even say what the  
9 averaging time is. I'm going to guess it's 24 hours for  
10 the sampling, but we should include what the averaging  
11 time is. But the RELs are based on 6 and 8 hours. So  
12 those might actually be different, and those might be  
13 extremely important. Absolutely, I think the maxima need  
14 to be listed. We need to find those.

15           DR. COLLINS: From The South Coast.

16           DR. MARTY: That was from the MATES Study.

17           PANEL MEMBER HAMMOND: Yeah, I'm on page six.

18 Page five does have the maxima values for the Bay Area.  
19 And I noticed that several of them are above 1 ppb, which  
20 certainly is a relevant -- and these were not necessarily  
21 designed to sample the hot spots for benzene. So I think  
22 that also needs to be noted.

23           Now, I'm not clear on Table 3.3 on the micro  
24 scale samples, if those were designed to sample hot spots  
25 for benzene?

1 DR. COLLINS: Not necessarily benzene.

2 PANEL MEMBER HAMMOND: It's anything.

3 PANEL MEMBER HAMMOND: No, I understand. And so  
4 I think -- I think to interpret tables like this, we need  
5 to know the maximum. We need to know the sampling time,  
6 and we should know, if something is purportedly where  
7 there is a high value, there's a source, we need to know  
8 is this the high source for benzene?

9 So there are many other toxic hot spots for other  
10 chemicals. And if it's designed to do  
11 3,4,5-trimethyl-chickenwire, you know, and not benzene,  
12 then its benzene value doesn't inform us. Certainly, if  
13 some of these are near what we think are the major hot  
14 spots for benzene, such as a refinery, that should be  
15 pulled out and identified as such.

16 So I think in order to understand what we are,  
17 but I think even looking at Table 3.1, where we do have  
18 some maxima, and these were not designed to be sampling  
19 those hot spots, and they probably are more than 8 hours,  
20 that the time period of the RELs, all of those things lead  
21 one to some concern.

22 And I think that needs to be spelled out a little  
23 more. It's really an interpretation of the tables and  
24 what the data are there. And I understand that Tables 3.2  
25 and 3.3 came from a website, but the underlying data, when

1 there are hundreds of samples, do exist and should be  
2 accessible to you.

3 DR. COLLINS: Very good.

4 PANEL MEMBER HAMMOND: But thank you. I mean, I  
5 think it's great to get this.

6 CHAIRPERSON KLEINMAN: But on that point, MATES 4  
7 is now completed, and so there may be, you know,  
8 additional data that you can include.

9 PANEL MEMBER HAMMOND: And perhaps an explanation  
10 of MATES, what MATES is, what it's intended to be  
11 sampling, in the document, I mean.

12 PANEL MEMBER RITZ: Since we are at these tables,  
13 can I make one more point?

14 So it took me awhile to figure out what Table 3.3  
15 really means. And I think it's because I was having a  
16 hard time finding which of those stations should be looked  
17 at together, fixed and micro scale. It might help if you  
18 delineate that a little more easily. It's clear after,  
19 you know, you study this for a while, but the reader would  
20 have --

21 PANEL MEMBER HAMMOND: Dotted lines.

22 PANEL MEMBER RITZ: Yeah, something.

23 PANEL MEMBER BLANC: I had mentioned earlier --  
24 this is on the -- back to the topic of making sure you're  
25 really careful about your language and consistent, but if

1 you look at the opening paragraph of the introduction to  
2 chronic toxicity to adult humans.

3 PANEL MEMBER HAMMOND: What page?

4 PANEL MEMBER BLANC: Page 17. So that's another  
5 example where you say hematotoxicity, and I guess you mean  
6 bone marrow effects, but -- or stem cell effects or  
7 whatever, but if you want to use the word hematotoxicity  
8 throughout, okay, but that's your choice. But the next  
9 sentence hematologic lesions in the bone marrow can lead  
10 to peripheral lymphopenia and/or pancytopenia.

11 Well, it's -- you can't have lymphopenia and  
12 pancytopenia, so it's or, if that's what you mean. I mean  
13 just little things like that are jarring, but it's -- I  
14 would just -- I mean, I would maybe have some -- if you  
15 have an internal medical editor that can help you with --  
16 this is a highly medical kind of thing, but it's

17 DR. COLLINS: We could bend Craig Steinmaus's  
18 ear.

19 PANEL MEMBER BLANC: Yeah, I think Craig would be  
20 great to just -- things like bone marrow punctures  
21 revealed. I mean -- you mean, bone marrow biopsies. You  
22 mean, bone marrow aspirations. I mean, I don't know what  
23 a bone marrow puncture is. Maybe that's a British term or  
24 something, but in America, we wouldn't talk like that.

25 (Laughter.)

1 DR. MARTY: Andy, are you responsible for that  
2 term?

3 DR. SALMON: No, I'm deny all knowledge of that  
4 term.

5 (Laughter.)

6 PANEL MEMBER BLANC: And I think this is place  
7 where, by the way, if you're going to talk about  
8 myelodysplasia, you should talk about that. And I  
9 wouldn't -- I would not say severe benzene exposures can  
10 also lead to aplastic anemia. I'd just say benzene  
11 exposure can also lead to aplastic anemia. It doesn't --  
12 I don't know what you mean by severe. I mean, over the  
13 exposure limit or -- I don't what you mean, but I  
14 wouldn't -- because basically you're saying, unless it's  
15 severe, you couldn't possibly have aplastic anemia, which  
16 isn't correct at all.

17 DR. SALMON: Well, possibly that if it cause  
18 aplastic anemia, then by definition it's severe.

19 (Laughter.)

20 PANEL MEMBER BLANC: Yeah. So that's just -- I'm  
21 just harping on that, because I think it's a -- to me, it  
22 was a clear example of a, you know...

23 PANEL MEMBER RITZ: In the same vein, I had  
24 stumbled over on page 7 the second paragraph about  
25 metabolism, because it kind of goes back and forth between

1 benzene being absorbed and neurotoxic, and then its  
2 metabolites. And if we talk about inhalation as the main  
3 route, then there's a lot of non-metabolized benzene that  
4 could reach the brain, and therefore be neurotoxic. But  
5 the whole paragraph is kind of as if benzene is mostly  
6 metabolized before it gets anywhere else, which I think we  
7 need to clarify.

8           And it's very lipophilic, so it definitely goes  
9 in the brain.

10           PANEL MEMBER BLANC: Have Craig, by the way, look  
11 at that section on -- the little brief section that's on  
12 people who died from, you know, basically respiratory  
13 depression or CNS depression, I should say, because  
14 it's -- you talk about, you know, they died from  
15 anesthesia. Well, they didn't die from the anesthetic  
16 effects, they died because they stopped breathing, I  
17 assume.

18           I think -- by the way, I think that's probably  
19 more important than cardiac dysrhythmia. But you say  
20 something about cardiac failure, which is not the right  
21 term either. If you mean that it's -- you talk about it's  
22 sensitizing the myocardium to catecholamines, which is  
23 true. Although, certainly the chlorinated solvents are  
24 more potent sensitizers. But I would suspect that people  
25 die from respiratory depression, from CNS depression and

1 respiratory depression, in -- the people who go down into  
2 the tank, you know, the closed space events.

3 PANEL MEMBER ARAUJO: Where was that?

4 PANEL MEMBER BLANC: It was in the beginning of  
5 the acute section, I think. I mean, you know it is worth  
6 noting that apropos of a non-cancer health effect, the  
7 aplastic anemia of benzene, you know, was recognized 10  
8 years after the introduction of benzene as a commercial  
9 solvent, and it took another 40 years for leukemia to be  
10 accepted, even though sporadically it was reported maybe  
11 30 years after.

12 But, you know, we've dealt with the non-cancer  
13 severe health effects of benzene for a lot longer, in  
14 terms of medical recognition, for what it's worth.

15 CHAIRPERSON KLEINMAN: One other issue on the  
16 chronic study, the Lan study, when you look at the control  
17 population, and you look at their exposure, they're  
18 exposed to a much higher baseline level, or a higher  
19 baseline level. They don't really quantitate it, but they  
20 say it's less than 40 ppb, which is considerably above our  
21 baseline levels.

22 But I think that that would tend to reduce the  
23 overall sensitivity of the analysis. And again, it goes  
24 in the direction of arguing for a larger uncertainty  
25 factor in --

1 PANEL MEMBER HAMMOND: It would certainly  
2 underestimate the effect that is there. So the fact that  
3 they do see an effect is more sustained and the effect is  
4 probably greater than that's reported following that, yes.

5 CHAIRPERSON KLEINMAN: But I think it would be  
6 useful to, you know, put that into the discussion, you  
7 know, as you're going along.

8 PANEL MEMBER BLANC: So what else do you need  
9 from us before you go back to work on some serious  
10 revisions of this?

11 DR. MARTY: I guess we should have a comment on  
12 our recommendation to add it to the list of toxic air  
13 contaminants that disproportionately impact children and  
14 whether the Panel views that as appropriate.

15 PANEL MEMBER BLANC: Yes.

16 DR. COLLINS: Is that a motion?

17 CHAIRPERSON KLEINMAN: I'd like to hear from the  
18 other Panel members on that.

19 PANEL MEMBER GLANTZ: Well, I think, yes the  
20 answer to the question about disproportionate affect on  
21 kids. I was just looking at your charge questions, and I  
22 thought, just for the record, I do think the approach to  
23 characterizing the dose response relationship was fine,  
24 and that the choice they used for the LOAEL was  
25 appropriate. So just since you asked, nobody has talked

1 about it.

2 I mean, the question I would raise, I think that  
3 there have been lots -- and if anybody disagrees, feel  
4 free. But I think there have been a lot of suggestions  
5 for editorial changes to improve the document, but none of  
6 them, except for this question of the uncertainty factor,  
7 are substantive in terms of the bottom line of the report.

8 And so if people agree with that, we, the Panel,  
9 has a tradition of tentatively accepting a report, subject  
10 to making the editorial changes that are in the record,  
11 and then having the Chair, and in consultation with the  
12 appropriate members, approve it.

13 But I do think the substantive issue is the  
14 uncertainty factor. And, I mean, my -- again, my sense of  
15 the discussion from the panel is that people -- everybody  
16 was saying it should be bigger. And so I guess a question  
17 I have for OEHHA is, is that something that you think can  
18 be resolved today or maybe if we break for lunch and they  
19 go think about it or no? And if not, then we'll have to  
20 come back.

21 PANEL MEMBER BLANC: I would not feel  
22 comfortable. There's enough. I think this is one  
23 situation -- Dr. Kleinman is new in the Chair position.  
24 We don't have leads assigned to this document.

25 PANEL MEMBER GLANTZ: Oh, we don't?

1           PANEL MEMBER BLANC: No. And in all fairness,  
2 it's not -- you know it shouldn't be a carrot and stick  
3 approach, where if you -- we badger you to say yes, we'll  
4 approve it now.

5           (Laughter.)

6           PANEL MEMBER GLANTZ: Oh, no, I'm not thinking of  
7 it as a carrot and stick approach. You've been remarkably  
8 restrained actually.

9           (Laughter.)

10          PANEL MEMBER GLANTZ: But I mean, I'm just asking  
11 the question.

12          PANEL MEMBER BLANC: So I would not agree with  
13 that.

14          PANEL MEMBER GLANTZ: Well, then never mind. But  
15 I mean I do think that's the only substantive issue on the  
16 table of all the things.

17          DR. MARTY: Well, you know, I can say that we did  
18 go back and forth on that uncertainty factor a lot. And  
19 there are good arguments for making it bigger. In fact, I  
20 kind of -- the first time I saw it I wanted it bigger.  
21 Then the staff talked me out of it.

22          PANEL MEMBER GLANTZ: I guess have you been  
23 talked back into it?

24          DR. MARTY: I've been talked back into it.

25          (Laughter.)

1           PANEL MEMBER BUCKPITT: Can I make one more  
2 comment that might help you with that uncertainty factor?  
3 You know, 2E1 is really susceptible to alcohol. So for  
4 people like me, you want to protect me from benzene.  
5 Okay.

6           PANEL MEMBER BLANC: Because? Would you be more  
7 explicit? That's

8           PANEL MEMBER GILL: Because he drinks wine all  
9 the time.

10          DR. COLLINS: He goes to the pub in Davis.

11          PANEL MEMBER BUCKPITT: I like my wine at night,  
12 Paul.

13          PANEL MEMBER BLANC: But on the same vein, I  
14 don't know the list of prescription medications that may  
15 also come into play there, and what their relative density  
16 of use is in the population. Do you know?

17          PANEL MEMBER BUCKPITT: It's actually mostly  
18 solvents that affect cytochrome P450 2E1. They  
19 essentially decrease the degradation. So it's things like  
20 purity of these. Can we get into that? The big one is  
21 alcohol. So I suppose cough syrup.

22          PANEL MEMBER RITZ: Yeah. And they do mention  
23 toluene in many places. But that's the --

24          PANEL MEMBER BUCKPITT: But that's an inhibitor.

25          PANEL MEMBER RITZ: Yes.

1           PANEL MEMBER BUCKPITT: That's a competitive  
2 inhibitor.

3           PANEL MEMBER RITZ: Yes, right.

4           PANEL MEMBER BLANC: And also in terms of your  
5 arguments for uncertainty, what is -- there is data on B  
6 cell subsets in the key paper, correct? Is that right,  
7 CD4?

8           PANEL MEMBER RITZ: Yes.

9           PANEL MEMBER BLANC: Is there a negative effect  
10 on CD4 in that paper?

11          PANEL MEMBER RITZ: Yes.

12          PANEL MEMBER BLANC: In what percentage of the  
13 population in California is HIV positive with impaired CD4  
14 counts? To begin with, do you think that's an argument  
15 for --

16          DR. MARTY: Yes.

17          PANEL MEMBER BLANC: I would say specifically  
18 also, in terms of the yes for the childhood exposure.  
19 First of all, clearly, you use a key study for the acute  
20 REL, which is looking at that. So that, to me, is prima  
21 facie, but also there's a nice new paper -- I'll give you  
22 the abstract, so it's too recent to have been in your  
23 review, which compares the toxicity of the hydroquinone  
24 metabolite in hematopoietic stem cells derived from the  
25 embryonic yolk sac as opposed to the adult bone marrow

1 showing that the effect is stronger in the embryonic yolk  
2 sac.

3           And there may be others if you look specifically.  
4 So I'll give you that, but I don't -- I really don't think  
5 that's a vulnerability of your analysis.

6           CHAIRPERSON KLEINMAN: So on the point of is the  
7 evidence adequate to identify benzene as a contaminant  
8 that disproportionately impacts children's health?

9           I'm hearing a sentiment that we agree to that,  
10 but, you know, are there any -- you know --

11           PANEL MEMBER GILL: While I agree with that.  
12 There's only one study that I see here, that that's the  
13 Keller and Snyder study that is a justification for that  
14 on pregnant mice actually correct, female mice?

15           DR. MARTY: That's the study that we have in here  
16 that's the basis of the acute REL, but it's not the  
17 argument for why.

18           PANEL MEMBER GILL: So what's the argument for  
19 it?

20           DR. MARTY: Okay. So this goes back now to  
21 our -- in 2001 when we laid out reasons that toxic air  
22 contaminants might disproportionately impact kids.

23           So one of them is it -- and it's not related to  
24 the REL. It's a carcinogen. Okay, so we actually weight  
25 early life exposure to carcinogens, because they are --

1 the potency is greater early in life than it is when  
2 you're exposed later in life. So we have a bid document  
3 about that.

4 But one of the -- aside from the carcinogenicity,  
5 something that is toxic to blood forming cells -- to blood  
6 forming organs. It's -- our argument is it's going to be  
7 worse in a growing child than it is in an adult, because  
8 of the demands for basically hematopoiesis during growth.  
9 So that, in itself, to us is enough reason.

10 PANEL MEMBER GILL: No, I buy the argument.  
11 That's not of our concern. The concern is I see very  
12 little documentation in front of me that I can say, yes,  
13 that would be -- I would support that particular argument  
14 right now.

15 I mean, if I see the document in front of me, the  
16 only ones I see is that particular study, and then your  
17 suggestion that it be put as a substance under that -- for  
18 affecting infants or children.

19 DR. MARTY: So we have a couple of paragraphs on  
20 page 47 and 48 that describe benzene as a TAC, especially  
21 affecting infants and children. So, you know, we layout  
22 our general argument there, including that it's  
23 leukemogenic. And although people will argue that the  
24 occupation -- that the adult leukemias associated with  
25 benzene exposure in the workplace are different leukemias

1 than in children. You don't necessarily expect site to  
2 site concordance. We see that with other carcinogens,  
3 where the cancer induced by early life exposure is  
4 different than the cancer induced by later life exposure.  
5 So, I mean, we did -- we can add more in here.

6 PANEL MEMBER BLANC: I think the point being made  
7 is that, you know, you have these -- the generic arguments  
8 that you have, but since in this case you could marshal  
9 some more specific evidence, in addition, or to the extent  
10 that you can, you should do it, not -- that's not an  
11 argument that it's -- that you don't have sufficient  
12 grounds to say it affects.

13 For example, probably very quickly, you could  
14 ascertain whether the incidence of aplastic anemia is  
15 higher in children than in adults. I believe that it is,  
16 but that's -- I can't tell you for sure.

17 But let's say that were the case, then I would  
18 say this is something which causes aplastic anemia. We  
19 know that children have more aplastic anemia than adults.  
20 That's different than -- you know, that gets you off the  
21 sort of generic cancer argument, and it's quite relevant  
22 to benzene.

23 PANEL MEMBER HAMMOND: And I think to carry that  
24 further, you have, in the document earlier, evidence about  
25 pregnant mice and the effects, and the fact that, you

1 know, there is this effect on the different blood cell  
2 types. So I think that that just needs to be added to  
3 that, you know -- just -- it makes us stronger, so it's  
4 not just the cancer part, but because the RELs themselves  
5 are based on these others, and you have evidence that  
6 that's important for children.

7 PANEL MEMBER GILL: No, I'm not arguing against  
8 it. I'm just saying that the case for making that in this  
9 document is not evident to me.

10 DR. MARTY: Okay. So we need to flesh out that  
11 section. I mean -- you know, one of the obvious endpoints  
12 that we had in our 2001 document, if it's a developmental  
13 toxicant, it should get listed, and benzene is a  
14 developmental toxicant.

15 PANEL MEMBER HAMMOND: It comes back again.

16 CHAIRPERSON KLEINMAN: Yeah. And I think  
17 somewhere in one of your responses -- I don't remember  
18 exactly where -- you also speak to the issue that B cell  
19 formation is much greater in children than in adults.

20 DR. COLLINS: B cell?

21 CHAIRPERSON KLEINMAN: Yeah. And that would  
22 indicate that they might also -- if the B cells are  
23 targeted, then they would be also more sensitive possibly.

24 PANEL MEMBER BLANC: Well, if you can find any  
25 data on toxicity of benzene to the thymus, that's

1 obviously a developmental issue, because all that thymic  
2 stuff happens early in childhood, and then involutes. So  
3 I suppose -- I mean, I wouldn't -- I don't think anybody  
4 is suggesting you have five pages of new text here, right?  
5 We're --

6 DR. MARTY: Yeah, we could though.

7 (Laughter.)

8 PANEL MEMBER RITZ: So I'm a little bit confused  
9 by this chronic toxicity in infants and children and then  
10 finding the cancer effects there listed. Is it because  
11 they are blood cancers or --

12 DR. COLLINS: It's because we wanted to show that  
13 benzene probably has effects in children, but we don't  
14 have the data on noncancer effects. But it doesn't mean  
15 they're not affected, it's just there's not data on it.

16 PANEL MEMBER RITZ: Okay.

17 DR. COLLINS: So I think we tried to explain that  
18 at the beginning.

19 PANEL MEMBER GILL: The reason --

20 PANEL MEMBER HAMMOND: But I do think you have  
21 effects for animals, right?

22 DR. MARTY: Right.

23 PANEL MEMBER HAMMOND: So you could pull that in.  
24 I mean, not human children, but I mean, you do for animal  
25 offspring, so that again there's -- one would expect that

1 in humans. It just hasn't been studied.

2 PANEL MEMBER GILL: Melanie, I think the way that  
3 you want to say is you want to put this benzene as a toxic  
4 air contaminant affecting children, these are the reasons  
5 where you need to do A, B, C, D, whatever it is, and  
6 logically put through the panel of justifications and  
7 supporting arguments. Then I think it's easier to say,  
8 yeah, that's clear in that case, because here it is  
9 actually not precisely stated, and you have to go back to  
10 information prior. You are familiar with it but, I am not  
11 familiar with it, so it's become sort of difficult.

12 DR. MARTY: Good point.

13 CHAIRPERSON KLEINMAN: Just one other item that  
14 we hadn't really touched on, but just looking at the form  
15 of the acute REL. In the text it says a level at which  
16 infrequent 1-hour exposures are not expected to result in  
17 adverse effects.

18 However, in this particular case, even with the  
19 chronic levels that you have currently at 2 ppb, if you  
20 exceed the acute REL more than once in any 8-hour period,  
21 you'll exceed the 10-hour or the 8-hour and the chronic.  
22 So I think the form of the standard has to be more  
23 explicit as well.

24 DR. MARTY: Okay. So the way these numbers are  
25 used is maybe not familiar to everybody. But when the

1 risk assessments are conducted for stationary sources,  
2 they -- the assessment involves air dispersion modeling  
3 estimates of exposure. And the air dispersion models will  
4 look for the peak 1-hour max, and it's generally with five  
5 years of med data applied to the stationary source. And  
6 that is what the acute REL gets compared to in the risk  
7 assessment.

8           The chronic RELs get compared to the annualized  
9 average over the number of years that they have med data  
10 for that's applicable to this stationary source.

11           The 8-hour RELs, they have to do some fancier  
12 modeling to come up with the 8-hour max within a 24-hour  
13 period, which is -- I don't understand the air dispersion  
14 model, so I can't really comment on how that's done.

15           So just to give you an idea, that's how the  
16 numbers get used. They're the comparison point for  
17 those -- the results of the dispersion models.

18           So I don't know if we need to say more about  
19 that. We had discussion of that in the technical support  
20 documents that underlie the whole risk assessment  
21 guidelines. So it's -- I mean, we could put a couple  
22 sentences in there defining the acute REL, the 8-hour REL,  
23 the chronic REL, and how they're used. That might be  
24 useful, because, you know, unless you guys have recently  
25 read those technical support documents, which are real

1 sleepers, you may not remember. I mean, no remembers all  
2 this detail, unless they're doing this kind of stuff all  
3 the time.

4 DR. SALMON: It might be a good -- I mean, in  
5 terms of the document structure, this is actually going  
6 to -- assuming you eventually approve it, it is going to  
7 eventually appear as one section of an appendix listing  
8 all the REL derivations. And it might -- you know, in  
9 terms of convenient access, it might not be a bad idea to  
10 make sure that we've got a clear statement of the  
11 definition and use of the RELs, as part of the preamble to  
12 that appendix, where those documents are presented. You  
13 know, rather than putting the same preamble in all '68 REL  
14 documents that we --

15 DR. MARTY: Why don't we just cut and past it,  
16 because they might not get the REL document.

17 DR. SALMON: Well, yes, but what I'm saying is  
18 that that could be something which we have as a generic  
19 statement that we could -- you know, anytime we hand out a  
20 REL document, we could add that in as a cover page, but it  
21 would be provided in -- you know, as part of the intro to  
22 the appendix, where these things will eventually appear,  
23 if that sounds like a good idea.

24 PANEL MEMBER BLANC: Sure.

25 CHAIRPERSON KLEINMAN: Thank you.

1           Are there any other comments?

2           Then I guess --

3           PANEL MEMBER BLANC: We don't have a motion --  
4 it's not really a motion. It's just you're going to be  
5 coming back to us with a revised document, so there's no  
6 motion specific to this document at this time, correct,  
7 right?

8           DR. MARTY: I'm sorry. I was distracted by my  
9 boss's boss, so I did not hear that last comment.

10          PANEL MEMBER BLANC: There's no motion at this  
11 time. You're going to be coming back to us with a revised  
12 document.

13          CHAIRPERSON KLEINMAN: Well, I guess going back  
14 to the other question about whether the Panel feels that  
15 other than the, you know, editorial changes in terms of  
16 the children's sensitivity and increasing, you know, the  
17 documentation on that, do we feel that the case is  
18 sufficiently strong to say that it should be added as a  
19 TAC that does affect infants and children?

20          PANEL MEMBER BLANC: I think we've already said  
21 that everybody feels that part of it's okay, but in terms  
22 of now taking a step back in terms of the document we have  
23 before us, we're not taking a motion on the document as a  
24 whole, at this time, because we're going to wait to see  
25 the revised document before we make a motion to accept the

1 document.

2           As Stan points out, there have been times where  
3 we've said we tentatively accept the document, presuming  
4 the certain changes that we've discussed or made. I just  
5 think given where we are with this and we don't have a  
6 lead, and, you know, there are a lot of new people here.  
7 And I think it's -- discretion is the better part of valor  
8 on this one.

9           CHAIRPERSON KLEINMAN: All right. I guess then  
10 we'll close this off with the understanding that the  
11 document will be revised. The issue on the uncertainty  
12 factors will be discussed, and revised, and then the  
13 document will be sent back to the Panel for review.

14           PANEL MEMBER GLANTZ: I mean, the -- I didn't  
15 realize we didn't have a lead. Since you're new, usually  
16 we have one or two people who are designated as leads who  
17 work with the OEHHA people to try to knock the rough edges  
18 off a document before it comes back.

19           I mean, I wonder if it's worth designating  
20 somebody to do that to just help wrap this up. I think  
21 the document is very close. I mean, would -- Paul, do you  
22 want to do that?

23           PANEL MEMBER BLANC: No, I actually think it  
24 would be good, in this case, to have two people, one of  
25 whom has just joined and perhaps one who's been on for a

1 while, because it is close, and so it would be a nice way  
2 to get your --

3 PANEL MEMBER GLANTZ: Yeah, we usually have two.

4 PANEL MEMBER BLANC: -- feet wet. And I don't  
5 need to be one of those people, but...

6 PANEL MEMBER HAMMOND: I could do it.

7 PANEL MEMBER GLANTZ: So Kathy is old. Is there  
8 a new person --

9 PANEL MEMBER BLANC: Seasoned.

10 PANEL MEMBER GLANTZ: An old person -- well  
11 whatever.

12 (Laughter.)

13 PANEL MEMBER ANASTASIO: As a new person, I'm  
14 happy to do it.

15 CHAIRPERSON KLEINMAN: All right. So Kathy and  
16 Cort will be the leads on the revised document.

17 I guess the next item on our agenda is  
18 consideration of any administrative matters, that we --

19 DR. MARTY: Mike, sorry. Before we adjourn the  
20 OEHHA people, I just wanted to throw in a couple things  
21 for the Panel to think about. We, at OEHHA -- and this  
22 has been brought up by the Panel as well -- are trying to  
23 make our reviews more efficient. You know, the Panel  
24 doesn't meet terribly often. It's hard to get you guys  
25 all scheduled.

1           And it would really be helpful, and we  
2 couldn't -- we didn't have time to do it this time,  
3 because it -- you know, Mike just got appointed recently,  
4 and -- but if we have the Panel review the document and  
5 provide us with comments in advance of the meeting, it  
6 would help us come to you with already even some revisions  
7 in there, or proposed revisions. And that would be a lot  
8 more useful, I think, and make the whole process more  
9 efficient.

10           PANEL MEMBER BLANC: Well, that's typically what  
11 the leads have tried to do. So I think it plays back into  
12 having leads who circulate something to the other members,  
13 if they can, in advance. I think we have had -- we have  
14 seen that, and that would make things more efficient.

15           And I think that having all of us act as a  
16 committee of the whole writing memoranda before the  
17 meetings is less efficient frankly, and not likely to be  
18 as focused as giving us a chance for -- look, for example,  
19 if Dr. Ritz writes -- is the lead and, you know, has been  
20 quite detailed in a particular area, it helps me, because  
21 I could say one thing is I'm not going to revisit this  
22 question she's clearly done, but I can see that there's  
23 this other thing that really has, you know, not been as  
24 much on the radar screen.

25           So that's just the way of me saying that I think

1 the lead approach we've done is the right way to go, but  
2 with that refinement could be even better. But I don't  
3 want to be in the position of having to write you a memo  
4 before the meeting on every document.

5 DR. MARTY: Yeah. I think we have to be a little  
6 careful about -- maybe Gina is going to bring this up --  
7 the Bagley-Keene thing where you guys can't be talking  
8 about stuff outside of the Panel meetings. So you can't  
9 like send your comments all over the place. That's not  
10 what I'm saying.

11 PANEL MEMBER HAMMOND: Right. No, I was going to  
12 suggest the same thing that Paul suggested, but let me  
13 take another step further. I know that in the past,  
14 individuals from the Panel have met with people from  
15 OEHHA, you know, have come about particular questions and  
16 dealing -- working through different sections and our  
17 thoughts and concerns. Is that the kind of help that you  
18 think would move this along?

19 DR. MARTY: I think it would definitely help.

20 PANEL MEMBER HAMMOND: Right. And so I think  
21 it's not a meeting of the Panel in any way at all, it is  
22 really -- it is specifically talking, you know, one on one  
23 or, you know, a couple of members from the OEHHA meeting  
24 with an individual Panel member among the leads. You  
25 know, that's -- and I think the -- it probably -- I'm just

1 realizing that one, perhaps, weakness in the two leads we  
2 just selected is that we're both -- neither of us are  
3 medical people or toxicologists, and we probably should  
4 have someone who is that as part of what's going on now to  
5 make sure that we get that balance.

6 PANEL MEMBER BLANC: I would agree with that  
7 little part of it, if we hadn't just had the discussion  
8 that we had.

9 PANEL MEMBER HAMMOND: If you think that's  
10 sufficient, then that's fine.

11 PANEL MEMBER BLANC: I think it's for this  
12 purpose, because we've outlined what we're -- but if it  
13 were -- if we were starting, then yes.

14 PANEL MEMBER GLANTZ: Yeah, I mean, typically  
15 when the leads are picked, which are done much earlier in  
16 the process, that's considered in who does it.

17 But the other thing I would just say, because we  
18 can't have -- we can't act as a Committee outside of a  
19 meeting. That's illegal. So having like the blizzard of  
20 emails is a problem.

21 But, I mean, it's not at all unusual for either  
22 to have the kind of one-on-one or one-on-two meetings  
23 Kathy mentioned, or like I, yesterday, was on the phone  
24 with people, you know, talking about -- I had a bunch of  
25 very detailed questions about the model.

1           And rather than taking everybody's time, you  
2 know -- and I had -- and we gave them some suggestions on  
3 how to clarify things. So there's also nothing wrong with  
4 people just calling them up and having a phone  
5 conversation. If there are specific issues that people  
6 have to, you know, to try to get it worked out before the  
7 meeting, or at least make them aware of what the questions  
8 are, so they can be addressed in the presentation, some of  
9 which was reflected in the presentation today.

10           But I do think -- I would hope that we can  
11 wrap -- I mean, again, I just see one important  
12 substantive issue here, and so I would hope that we can  
13 wrap the document up quickly. And, I mean, if people want  
14 to bring it back to the group, that's fine with me too,  
15 but I hope it won't take a really long time.

16           And we may, -- I would also suggest, if there's  
17 no other business, other than this, we should just -- we  
18 can do a telephone meeting to do the final review of this  
19 document, which would make scheduling a lot easier,  
20 because wouldn't have to travel. And we've done that also  
21 when we have things like this that are very close, but  
22 where the Committee wanted to, you know, consider it as a  
23 group before approving it.

24           CAL/EPA DEPUTY SECRETARY SOLOMON: Just to follow  
25 up -- this is Gina Solomon. Just to follow up on the

1 request that Melanie made. That is modeled on the  
2 approach of the NTP's Board of Scientific Counselors. And  
3 so for every document that they review, they ask each of  
4 the members of the Panel to send some just very short  
5 preliminary thoughts in advance of the meeting.

6 And that doesn't necessarily conflict with the  
7 idea of having leads who would get engaged in more detail.  
8 And it isn't intended to be a lot of work. I mean,  
9 generally, you know, it's just a few quick notes.

10 The main purpose is to avoid having someone who  
11 is not a lead, you know, have some major issue that the  
12 staff is not prepared to address, and then it sort of, you  
13 know, delays the whole process.

14 PANEL MEMBER BLANC: I have to say, Gina --

15 CAL/EPA DEPUTY SECRETARY SOLOMON: And so it's  
16 only to flag significant issues.

17 PANEL MEMBER BLANC: -- I want to take exception  
18 a little bit to this line of argument. I do -- not that I  
19 object to what you're describing, but the major thing that  
20 has not had us have work product is not getting work  
21 product. And that -- you know, it's very slow the  
22 material that comes to us. It's not because, for one  
23 particular document, we stretch it out another 12 months  
24 with re-revisions. That's not been the history generally  
25 speaking of what happens.

1           Yes, in fact, more commonly it's what Stan  
2 suggested, that something comes to us and we say, okay,  
3 we've tentatively approve it, but you've got to do this,  
4 this, and this. Sending something back and having have to  
5 come back again, that's not the big delay. The big delay  
6 is that we get one document every year, you know, and that  
7 there are lots of new chemicals that are not coming to us  
8 at all, or perhaps potentially important chemicals. And  
9 that's, of course, more true of the Department of  
10 Pesticide Regulation than of OEHHA.

11           So what you're suggesting, you know, within the  
12 parameters you're suggesting is okay, but that's not where  
13 I see the efficiency issue.

14           CAL/EPA DEPUTY SECRETARY SOLOMON: Understood.  
15 And we are trying to make changes at a number of levels  
16 and address, you know, a variety of issues. And there end  
17 up sometimes being chicken and egg problems, where, you  
18 know, the -- you know, in some cases perception that the  
19 Panel, you know, is a slow process can discourage, you  
20 know, speedy action on the part of staff. You know, these  
21 kinds of things happen.

22           So we're trying to address both ends of the  
23 issue. And we also are trying to just help staff out by  
24 putting them in a position where they have a general sense  
25 what to expect before coming into the room and talking

1 with the Panel, and they're prepared for those -- you  
2 know, the issues that are most likely to come up.  
3 Obviously, additional issues can come up at the meeting.  
4 That happens all the time, but you know, it really would  
5 help us out a lot.

6           And, you know, we don't intend this to be a lot  
7 of work, but we do feel that -- and honestly, you know,  
8 sometimes it can be hard getting leads. That was very  
9 much the case for this document.

10           PANEL MEMBER BLANC: Why? Who was asked to be  
11 the lead on this document? I don't remember anybody being  
12 asked.

13           PANEL MEMBER HAMMOND: I think that a request was  
14 sent to me, but it was when I was on my honeymoon, so it  
15 didn't work. Sorry.

16           PANEL MEMBER BLANC: Mazel tov.

17           PANEL MEMBER HAMMOND: My apologies.

18           CHAIRPERSON KLEINMAN: Well, I think, you know,  
19 one of the issues is that this is a document that came up  
20 awhile ago, and had been looked at and then we now, you  
21 know, have a new version. And I think this is a good  
22 starting point to, you know, to try to get this on track.

23           And the idea of the Committee, you know, letting  
24 OEHHA know when there is a substantive issue in advance, I  
25 think, makes a lot of sense. And I think that can come

1 from everybody on the Committee, not just from the leads.  
2 But I think when you do that, the leads should be  
3 informed. As long as, you know, it's a one-on-one sort of  
4 thing and we're not deliberating as a group, I think we're  
5 covered.

6 PANEL MEMBER GILL: I see the processes actually  
7 a bit more stream -- can be more streamlined. Say once a  
8 document is -- a chemical is being suggested to be  
9 actually looked at, then I think once the leads are  
10 involved, the revisions can be quite substantive, maybe.

11 So once that is done, it then goes onto the  
12 Committee, and then all can -- everybody can comment. I  
13 don't see anything with that, and actually -- but it's a  
14 two-step process, not just one step. So I think that  
15 would be better, and I think we can accommodate all of  
16 that.

17 And I don't see anything wrong with it, but I  
18 think it's best to have a lead, because I think the leads  
19 would spend much greater time. And also, if the leads are  
20 of two different expertise, then I think it's likely to  
21 pick up more than less.

22 So I don't see anything wrong with the two-step  
23 process really, and individuals commenting on that. And I  
24 think if it helps the staff, I'm all for it. I don't see  
25 any problem with that.

1           PANEL MEMBER GLANTZ: Yeah, I want to though just  
2 second what Paul said. I think the slow activity has been  
3 the slow production of documents, not the Panel. And the  
4 lead -- the lead we -- I mean, now as the oldest, longest  
5 serving member, I mean, that's why we instituted the lead  
6 process somewhere back in the Pliocene age. And I think  
7 it's worked very well. I mean, having been the lead on  
8 several of these or -- it's usually two people from  
9 different disciplines.

10           And, you know, I -- you know, I, both as someone  
11 who's worked as a lead and then worked not as a lead, I  
12 mean, I think that the record is that the lead people, who  
13 often will reach out to other members of the Panel, does  
14 work pretty well with the staff at knocking the obvious  
15 rough edges off these reports. And the speed of  
16 deliberation did speed up quite a lot when we instituted  
17 that process.

18           DR. MARTY: Okay. I, with all due respect, have  
19 to disagree somewhat, because if we come to the meeting  
20 prepared because we know what the major issues are, it's  
21 going to go a lot smoother and it's going to go a lot  
22 faster. By not -- by coming to the meeting not knowing  
23 what the issues are, you're guaranteed to have at least  
24 two meetings on everyone of these.

25           PANEL MEMBER BLANC: But that's not true, because

1 we have done exactly what Stan says. And furthermore,  
2 that's quite -- all this discussion is somewhat  
3 disingenuous, relative to the praise that was heaped on  
4 John Froines for saying the science, the science, the  
5 science.

6 This is a meeting where we review the science,  
7 and we do that as fellow scientists. And we can be as  
8 hard on you as we want, because that's in the scientific  
9 spirit. It is nothing about, you know, let's be nice to  
10 each other, and let's not surprise you, and let's not be  
11 tough. You know that we're doing this out of scientific  
12 rigor. This is not -- this is nothing personal.

13 DR. MARTY: I totally understand that, and I'm  
14 actually really appreciative of the comments that come  
15 from the Panel, because we do have great scientific  
16 debate. It would -- all I am saying is it would help us  
17 to know in advance what the major issues are, so that we  
18 can bring some solutions forward to the table. Right now,  
19 we're just -- you know, we have to sit here and think  
20 about it on the spot, and is not efficient to do it that  
21 way. That's the point.

22 PANEL MEMBER GLANTZ: Except, Melanie, if you  
23 take -- I mean, that is what we always have tried to do  
24 through the leads and the other feedback that you've  
25 given. But I mean every single one of these meetings I've

1 ever attended things come up out of the discussion of the  
2 group that wouldn't have come up with people individually.  
3 You know, so I think people are trying to do that.

4           And I also think you need to, you know, recognize  
5 that in terms of this document, there's really, for all  
6 the discussion, one issue of substance that I think  
7 emerged from the discussion. And I think that had one  
8 person just simply communicated that to you before the  
9 meeting or even a couple of people individually, probably  
10 it wouldn't have had much impact on what happened at the  
11 meeting, because it was the discussion of the Committee  
12 that, you know, really led to the issue crystallizing.

13           So, I mean, I know -- I felt like we should have  
14 had a picture of John banging you guys on the head before  
15 he left --

16           (Laughter.)

17           PANEL MEMBER GLANTZ: -- but the -- but, I mean,  
18 you know, we're all friends here. And, you know, I think  
19 everybody is trying -- I mean, this is a fairly simple  
20 document actually. And, you know, I think the process of  
21 working with the leads and getting informal feedback  
22 before the meeting, I mean, it's happened for a long,  
23 long, long time, and I -- but it's never -- that will  
24 never replace the discussion that happens at the meeting.

25           DR. MARTY: Oh, I agree there.

1           PANEL MEMBER GLANTZ:  And it's not done to like  
2 be mean to you guys or anything.  My skin is so thick by  
3 now, Stan.

4           PANEL MEMBER GLANTZ:  I know.

5           (Laughter.)

6           DR. MARTY:  You need a 10-inch hypodermic now.

7           (Laughter.)

8           PANEL MEMBER ARAUJO:  You know, may I say  
9 something.  So I concur in a way with both Stan and Paul  
10 on how is it the mechanism has worked so far in having a  
11 lead, and having these frank discussions and at the moment  
12 of the meeting.

13           At the same time, I understand your points,  
14 and -- of just being -- like having some warning, you  
15 know, what are the biggest issues that could come out.  
16 And the problem that I see in just having something  
17 structured in a way of emailing or writing some of these  
18 ideas is that, you know, it is difficult, especially like  
19 when we're dealing with something that could have like,  
20 you know, legal consequences, you know, putting just  
21 anything in writing or just having the whole Committee to  
22 start writing things or writing emails, because what would  
23 happen is that you're going to have to take your time and  
24 really be very careful in what you write, so in the future  
25 if those emails leak out, you know, things are not

1 misinterpreted.

2           As opposed to, how about something -- having  
3 something that it could be a lot more flexible, so the  
4 leads could be the venue of the Panel, so the Panel could  
5 actually just ask -- just send some points to the leads,  
6 you know, or even something that the leads doesn't really  
7 have to share with outside themselves.

8           And some things could come up like, you know,  
9 these are the factor of the -- the factor of 20, instead  
10 of a factor of 10. So it took really like about 45  
11 minutes of the whole meeting, probably the largest amount  
12 of discussions, about something that they having -- they  
13 have thought a lot about. Certainly but if they had known  
14 that this was going to be really like a, you know, the  
15 biggest issue, they could have even thought more,  
16 researched even more, even come up with some ideas.

17           Maybe, they could have even come up and said,  
18 well, we actually have thought about it, and we think that  
19 the factor of 20 makes more sense than 10, so we probably  
20 changed that. So the 45 minutes could have been reduced  
21 to 10 minutes, because they have already thought about it,  
22 you know.

23           So I do see an advantage of some previous  
24 communication, but I couldn't go for something that is too  
25 structured or too, in a way, that, you know, could lead to

1 having trails, you know.

2 CAL/EPA DEPUTY SECRETARY SOLOMON: Just to  
3 clarify, the -- the way the NTP, the National Toxicology  
4 Program, does it, the preliminary comments are not  
5 considered official statements unless you say them into  
6 the record again at the meeting.

7 And as you all know, every word that you're  
8 saying here, and all the -- including all of the wise  
9 cracks and jokes, are on the official record and recorded.  
10 So, you know, I think an email is hardly the thing we  
11 would -- you know, from one Panel member with preliminary  
12 thoughts is hardly something to worry about in that  
13 context.

14 So that's not something I think that we're  
15 terribly concerned about, and we see some benefit to  
16 adopting that model. It sounds like there's some  
17 willingness to give it a try, so we're hoping that -- and  
18 we will certainly come around and ask for leads in the  
19 future as we did this time around, and we're hoping that  
20 we will get leads for future documents. And, you know, we  
21 understand, people's schedules, you know, your schedules  
22 are all super busy, and sometimes it's just not a good  
23 time for anybody to take on a lead.

24 PANEL MEMBER BLANC: Speaking of recording, we've  
25 been having our stenographer type for two hours without a

1 break. And I think we're very near to adjourning, but I'd  
2 to have some reassurance, just so that I don't cause  
3 carpal tunnel syndrome.

4 THE COURT REPORTER: I'm fine.

5 PANEL MEMBER ARAUJO: May I ask something, also  
6 in -- how would you feel comfortable in saying what would  
7 be the time, you know, when you're going to receive some  
8 comments, and indicates -- or in case like we feel more  
9 comfortable in saying the comments to the leads. So for  
10 the leads to communicate with you, and you know what could  
11 be some of the biggest issues that we anticipate or we  
12 already perceive.

13 DR. MARTY: Yeah. There's one thing that's  
14 floating around and that's the Bagley-Keene Act. We have  
15 to be careful about that. Unfortunately, there's not an  
16 attorney here, but you have to be careful of rolling  
17 meetings, so that you guys -- you know, three of you send  
18 comments to one person, that might not be --

19 CAL/EPA DEPUTY SECRETARY SOLOMON: No, that  
20 counts as a rolling meeting.

21 DR. MARTY: I think that counts as a rolling  
22 meeting.

23 PANEL MEMBER ARAUJO: Oh, really?

24 DR. MARTY: Yeah. Yeah.

25 PANEL MEMBER GLANTZ: I mean, what I would just

1 again suggest, which I thought -- think has worked quite  
2 well, the leads are usually picked by the Committee at the  
3 meetings. So what will happen is, you know, the CalEPA  
4 will tell the Chair we've got a report that we're getting  
5 ready to send the Panel in maybe several months, and then  
6 at the meeting we talk about who's going to be the leads.  
7 And the Chair gets some people to volunteer, and we've  
8 always gotten them.

9           And then I just think in terms of this  
10 preliminary feedback, again, you know, the way I've seen  
11 it working is it's -- the leads obviously are paying the  
12 most attention before the meeting in trying to deal with  
13 stuff. And again, from when I've done it, sometimes I'll  
14 reach out to other people and say this isn't my area of  
15 expertise, what do you think about X?

16           But, you know, some members have sent written  
17 comments to the staff before the meeting of varying levels  
18 of detail. Sometimes very detailed, sometimes not. What  
19 I personally usually do is call them and talk to them,  
20 because I get tired of writing 3,000 -- I find emails are  
21 good for things like saying like yes, no, go away.

22           And, you know, but when you've got a substantive  
23 issue, I find it easier to just talk to people. And so,  
24 you know, we have a long history on the Panel of people  
25 interacting with the staff in varying ways before the

1 meetings. And, I mean, I think that's worked pretty well,  
2 but at the same time, there are always new things that  
3 come up at the meeting out of the discussion. And I think  
4 that's why we have the Committee, you know.

5           So I'm less -- I would rather go with the process  
6 of just encouraging -- kind of informally encouraging  
7 communication with the staff before the meeting if issues  
8 that, you know, people have, rather than trying to have  
9 some formal structure to it, beyond having a couple of  
10 leads assigned to each document enough in advance to  
11 matter.

12           I mean, because like the ones I've worked on,  
13 there will often be a couple of drafts that go to the  
14 leads before it goes to anybody else on the Panel.

15           DR. MARTY: That's particularly true for the TAC  
16 documents and then our risk assessment guidelines, which  
17 were big and just tons of detail.

18           PANEL MEMBER GLANTZ: And when you said they  
19 fascinating, I was so hurt.

20           (Laughter.)

21           PANEL MEMBER GLANTZ: They're page turners.

22           DR. COLLINS: Can't stay too long on any one  
23 page.

24           (Laughter.)

25           PANEL MEMBER HAMMOND: Just another thought to

1 put out there, and maybe it's not a good idea. But would  
2 it help if we were -- when you were getting close to  
3 having a document, if maybe we had a one-hour say  
4 telephone call with the whole Committee, where you  
5 could -- where there's just a brief laying out of this is  
6 what's -- kind of what's going on, so that people can say,  
7 yes, but what about this or that, so there's some major  
8 issue that got left out.

9 And even though -- and continuing with the leads,  
10 not to replace the leads, but having that one hour. We  
11 could probably find one hour of time, and then everyone is  
12 a little kind of attuned to what's going on.

13 PANEL MEMBER GILL: But that --

14 CAL/EPA DEPUTY SECRETARY SOLOMON: Unfortunately,  
15 that would have to be noticed as a public meeting. It  
16 would have to be publicly accessible.

17 PANEL MEMBER HAMMOND: No, no, but we have done  
18 that.

19 CAL/EPA DEPUTY SECRETARY SOLOMON: So it would be  
20 another meeting.

21 PANEL MEMBER HAMMOND: No. I'm not saying -- I  
22 am saying it would be a meeting. I meant it as a meeting,  
23 a full legal meeting open to the public, but the idea  
24 still would be that it's just kind of an orientation to  
25 the topic, so the people are beginning to think about.

1 Now, if it's not a good idea, fine.

2 PANEL MEMBER GILL: No, but I think actually  
3 it's -- in my mind, it's just actually probably not a wise  
4 use of time.

5 PANEL MEMBER GLANTZ: Yeah, but, you know, again,  
6 because the Panel used to meet much more frequently  
7 because documents were coming forward much more  
8 frequently. And it was not uncommon to have some -- you  
9 know, when there was some document that was just entering  
10 the sort of drafting stage to have some discussion just at  
11 one of these meetings, where, you know, Melanie or  
12 somebody or George would say, well, we just want to alert  
13 the Panel that we're working on this, and you know,  
14 sometimes get some input. That's actually usually when  
15 the leads got picked, too.

16 So, I mean, if we had more documents coming  
17 forward, then that could just be part of the agenda for  
18 the meetings. I think a phone call, without anything in  
19 front of us, I mean, I don't think that would be very  
20 useful personally. And it would require public notice and  
21 all of that.

22 PANEL MEMBER ARAUJO: But if you have a phone  
23 call of the leads --

24 PANEL MEMBER GLANTZ: The leads is different.  
25 Having a conversation with the leads, that isn't a quorum.

1 That's not a meeting, and, you know, that's fine.

2 PANEL MEMBER ARAUJO: And if it's communicating  
3 with the leads is not considered --

4 PANEL MEMBER GLANTZ: No, that's fine.

5 PANEL MEMBER ARAUJO: If communicating with the  
6 leads is not considered a part of the meeting, so is this  
7 something that could be entertained?

8 PANEL MEMBER GLANTZ: Yeah.

9 PANEL MEMBER ARAUJO: So let's say -- you know,  
10 let's say, Cort. So I decide to read -- you know, I read  
11 this ahead of time, let's say, and a couple of weeks prior  
12 to the meeting. So I just sent a short email to Cort and  
13 say, yeah, I found this or this or that. And then he  
14 receives some other input from some other members, and  
15 then at least 10 days prior to the meeting, so Cort -- and  
16 have a conversation, you know, about these are sort of the  
17 biggest issues. That doesn't mean that it could be the  
18 only ones.

19 But it's something that some of the biggest  
20 issues, they may spend time. They may be able to spend  
21 time and come out already with -- I don't want to say,  
22 like, you know -- they may already be able to address some  
23 of the issues and shorten the time of discussions.

24 So I'm not saying that there is a -- there's  
25 going to be a substitution of what we're really doing, but

1 it could certainly shorten the time in some of the things  
2 that we discussed, because they already --

3 PANEL MEMBER GLANTZ: Sure, but what you could  
4 do -- what I would say you should do is, I mean, you  
5 should tell the lead about what you're concerned about,  
6 but I would also just talk to them directly.

7 PANEL MEMBER BLANC: So listen, in view of the  
8 hour, what I would suggest is let's just defer to our new  
9 Chairman. He can give us some instructions, having heard  
10 the discussion, what he feels will work best and can --  
11 and I'd like to make a motion that we adjourned.

12 PANEL MEMBER GILL: Second it.

13 CHAIRPERSON KLEINMAN: All in favor?

14 (Ayes.)

15 CHAIRPERSON KLEINMAN: Okay.

16 (Thereupon the California Air Resources Board,  
17 Scientific Review Panel adjourned at 1:17 p.m.)

18

19

20

21

22

23

24

25

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, and Registered  
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the  
6 foregoing California Air Resources Board, Scientific  
7 Review Panel meeting was reported in shorthand by me,  
8 James F. Peters, a Certified Shorthand Reporter of the  
9 State of California;

10 That the said proceedings was taken before me, in  
11 shorthand writing, and was thereafter transcribed, under  
12 my direction, by computer-assisted transcription.

13 I further certify that I am not of counsel or  
14 attorney for any of the parties to said hearing nor in any  
15 way interested in the outcome of said hearing.

16 IN WITNESS WHEREOF, I have hereunto set my hand  
17 this 15th day of November, 2013.

18  
19  
20 

21  
22  
23 JAMES F. PETERS, CSR, RPR  
24 Certified Shorthand Reporter  
25 License No. 10063